

1 such as wearing ball caps, sunglasses, things like
2 that, which is I think typical for many populations,
3 but the primary reason for requesting explant was
4 glare or sensitivity to glare.

5 Second was the group that had Stargardt's.
6 Stargardt's macular dystrophy who were enrolled in
7 the trial, there were only six of those. Three of
8 those, of course, requested explant. Those
9 particular patients, as Dr. Peli had mentioned,
10 preferred to have the means of let's call it turning
11 on and turning off the device. They were very
12 comfortable with external devices, and that plus the
13 addition of glare was the reason for explant.

14 Regarding postoperative ECD, I would like
15 to pull the tables up for you to provide that. I
16 think I can say without hesitation, there was nothing
17 dramatic about the loss of ECD post those procedures,
18 but I'll provide the exact numbers to you.

19 DR. MATOBA: Okay. Did other patients have
20 glare say to a milder degree --

21 MR. HILL: Yes.

22 DR. MATOBA: -- after implantation?

23 MR. HILL: Yes.

24 DR. MATOBA: So it's something normally
25 discussed with the patient before the --

1 MR. HILL: Yes, we discuss glare in their
2 training process, to learn to use the device
3 postoperatively. We recommend just various glare
4 mitigation things, very simple straightforward
5 things. Wear a ball cap when outside, wear
6 sunglasses, very simple things.

7 DR. LANE: Steve Lane. One of the patients
8 was a patient of mine that was explanted, and while
9 the patient did indeed have a glare, it was also in
10 this patient an issue with the ability to use the
11 telescope as essentially the central vision modulator
12 in one eye and the peripheral vision in the
13 pseudophakic or phakic opposite eye, and this patient
14 just was not able to mesh that so that they could be
15 comfortable being able to use the eye in that way,
16 something we train patients or in trained patients
17 with preoperatively with an external telescope, but
18 it just shows you that sometimes what happens as you
19 try and prepare and set up a patient for this, that
20 it just doesn't work, but in that case, that was
21 probably the main thing that led to the explantation
22 in that patient who was very much more satisfied
23 following explantation and placement of a standard
24 lens implant and have the peripheral vision ability
25 in both eyes and was a happier camper.

1 DR. MATOBA: Okay. My second question is
2 Dr. Stulting's presentation on the intraoperative
3 complications. Was your data for both the IMT study
4 and the LTM study or just one? Because just looking
5 at this table that was provided to us beforehand,
6 where the two studies are listed separately, with the
7 intraoperative complications, and there's at least
8 one suspected or actual choroidal hemorrhage for each
9 of the two studies, and then the rate of patients
10 requiring retractomy, the total numbers are higher
11 than what was in that one table that you listed.

12 DR. GORDON: Judy Gordon. I'm going to
13 answer this question because it just relates to often
14 how we report adverse events and studies, where those
15 patients had that event intraoperatively, and as they
16 continued through the study, it continued to be
17 reported but those were not new events. So they were
18 existing events. They show up again --

19 DR. MATOBA: Oh, okay.

20 DR. GORDON: -- because of the nature of
21 the tables.

22 DR. MATOBA: Okay. But then there was one
23 in the IMT study and one in the LTM study that was
24 listed as choroidal hemorrhage, and I thought that
25 that was a very high rate of choroidal hemorrhage.

1 DR. GORDON: We'll confirm, but I think
2 it's the same --

3 DR. MATOBA: It's the same patient.

4 DR. GORDON: -- same patient.

5 MR. HILL: It is the same patient.

6 DR. GORDON: It's really a reporting
7 artifact. That's what I wanted to come up and
8 explain.

9 DR. WEISS: I also had a question in terms
10 of the surgical complications. In the four patients
11 who came out to corneal transplantation, I think,
12 Doyle, you had reported that most of them had iris
13 prolapse. What was the rate of iris prolapse in
14 other patients? I mean what was the percentage of
15 patients who didn't have -- go onto corneal
16 transplantation who had iris prolapse?

17 DR. STULTING: Doyle Stulting. We'd like
18 to take a moment to get that data, to get those data
19 for you. There was something else that I wanted to
20 say, but it left me.

21 DR. WEISS: I'm just trying to sort of
22 simply get more of a handle on, once you get iris
23 prolapse, is that a defining characteristic that this
24 patient has a much higher chance or was it a random
25 event that occurred in many patients and happened to

1 also occur in these patients? Yes.

2 MR. HILL: Dr. Weiss, Allen Hill. There
3 were 12 reports of iris prolapse in the IMT-002
4 study. That's all eyes.

5 DR. WEISS: So there would be 8 out of
6 approximately 180 that didn't have --

7 MR. HILL: Yes, that's 5.5 percent, and a
8 portion of those eyes did have iris prolapse that had
9 the transplants. I'll get you the exact --

10 DR. WEISS: So one-third of the eyes that
11 had iris prolapse went onto corneal transplantation?

12 MR. HILL: I'll verify that number.

13 DR. WEISS: David.

14 DR. MUSCH: Dave Musch. I'd first like to
15 address a comment that Dr. Peli made about astigma
16 and maybe give the sponsor or Dr. Peli an opportunity
17 to expand on that a bit. And I'm looking at the
18 visual function questionnaire findings and note the
19 impressive improvement in some of these visual
20 functions of daily life, and I want to respect the
21 people that came here to speak on that, too. It's
22 quite impressive, but obviously it's their anecdotes,
23 but this supports it from the study.

24 I wanted you to comment on or redirect your
25 attention to several of the other scales and maybe

1 expand on it a bit, and those scales are dependency,
2 mental health, and social functioning. I found those
3 to be as impressive as the ability to read fine
4 print. Could you comment on that from a low vision
5 perspective?

6 DR. PELI: Eli Peli. Sure. I think this
7 is crucial. The social one would be included that as
8 a low vision practitioner, you frequently hear from
9 these patients the complaint the inability to
10 recognize people or to interact with them
11 appropriately is a stressful event in their daily
12 life, in their senior citizen housing. If you don't
13 greet people at the appropriate time, at the
14 appropriate distance, you may run into some social
15 issues. So it is really an important issue that's
16 undermined and therefore help significantly.

17 The other items that you mentioned, such as
18 independence, have to do with the fact that indeed we
19 think that intermittent distance activities are
20 important and the patients have that, but I think
21 there is a measure of just getting more confidence,
22 which you've seen in the anecdotal presentation, that
23 once people are able to do a few tasks, then their
24 whole outlook is changing and may affect their
25 independence in a way like this.

1 DR. WEISS: Yes.

2 DR. SUNNESS: Janet Sunness. I had a few
3 other questions just to give me a sense of how
4 patients adjust with this and some practical issues
5 also. First of all, once you implant the lens, do
6 you have to do any distance refraction? I mean in
7 other words, do you just take it as it is?

8 DR. PELI: No, you do have to do refraction
9 and you do have to provide the glasses. It's not
10 enough to give patients the --

11 DR. SUNNESS: The telescope.

12 DR. PELI: -- script and expect that --
13 yeah. It's not that you have to make the glasses but
14 you have to somehow have in your process of follow-
15 up, verify that at least the next time they have the
16 glasses. The interesting thing is that the
17 improvement, even in a fairly large dioptric
18 corrections of say three diopters which you would
19 expect, is not as dramatic as you would read off from
20 a regular table. Possibly a pinhole effect, there is
21 a larger depth of field as I said from one and a half
22 to infinity is really the depth of field. So this
23 has some kind of a pinhole effect which helps in that
24 regard, but some of the patients need more than that
25 and some have astigmatism with this kind of surgical

1 procedure as you'd expect, and that is important to
2 correct.

3 DR. SUNNESS: And would you know offhand, I
4 mean were there a lot of weird refractive errors that
5 came out after this?

6 DR. PELI: I don't know. I haven't seen
7 the data on the refractive error, but the few that
8 I've interacted with and that I've measured myself,
9 that I refracted myself, there were a number with
10 three diopters of astigmatism, even four. And I
11 don't see that as a surprise with that kind of
12 surgery, and I have been hammering on that.

13 MR. HILL: Regarding were there any weird
14 refractive errors that we had to address, the answer
15 is no.

16 DR. SUNNESS: Okay. My next question is I
17 understand in the group that was worse than 20/80
18 or -- well, actually let me back up. The ones, the
19 eye was selected, if I understand it correctly, if
20 they were in the better acuity, it was selected as
21 the worse eye, and if they were in the profound
22 acuity loss, it was at the discretion of the surgeon
23 and the patient. So what I was wondering is if you
24 came across any findings from that that would give
25 guidance to physicians who were choosing, and also in

1 terms of adapting to the disparity between eyes, if
2 that was a significant issue in terms of which eye
3 was done.

4 MR. HILL: Allen Hill. I'll try and handle
5 the first part regarding adaptation. I will rely on
6 Dr. Peli. You are correct in terms of the protocol.
7 The protocol required that if visual acuity was
8 better than 20/200, that the device go into the
9 poorer seeing eye, and if it were otherwise, then it
10 was a physician/patient option after discussion.

11 Regarding lessons learned, in terms of
12 looking at the clinical data, I believe not in all
13 cases but in most cases, we recommend looking at the
14 results using the external telescopes during
15 preoperative assessment to understand the achievement
16 we would expect after implant of the IMT to see if
17 the gains were sufficient. We would like to see two
18 lines or better, better than the fellow eye. If
19 that's achieved, then I think putting it in the
20 poorer seeing eye is fine.

21 However, we would like to see significant
22 improvement over whatever the fellow eye is. In
23 general, I think we would recommend, just looking at
24 this, that the device go into the better seeing eye
25 in most situations. We also find it's slight, and

1 maybe -- it could be noise, but we see the retention
2 of gains in visual acuity when the device went into
3 the better seeing eyes, somewhat better out over
4 time. Adaptation.

5 DR. PELI: Before that adaptation, I think
6 it's crucial that after surgery, the implanted eye
7 has better acuity than the patient had before. If we
8 don't achieve that, then we miss the point, and in
9 some of the cases in the study, that was the outcome,
10 but it was imposed by that protocol. So we would not
11 recommend that as a practice going forward.

12 The patients that had that situation ended
13 up not having a lot of incentive to use that eye if
14 they had better acuity in the other eye and better
15 field, you know. So they didn't adapt well. The
16 adaptations are surprisingly varied. So there's no
17 fusion. Nobody's fusing with the 3X or 2.2X
18 difference. The patients are switching. They're
19 alternating, and they develop a variety of
20 methodologies to do it on their own. Some blink and
21 switch over with a blink. Others are just trying,
22 that they can -- and we are now trying to help some
23 of them in training by putting a partial tape on the
24 lens to enable them to switch from one eye to the
25 other. We're thinking of that as a method of

1 training and/or as a matter of continuing use.

2 MR. HILL: Just a quick elaboration on
3 that. On the lessons that we've learned regarding
4 this particular topic, we've incorporated this into
5 the training programs that have been submitted to the
6 Food and Drug Administration for (a) preoperative
7 assessment, (b) postoperative -- I don't think I
8 would call it rehabilitation, but I would call it
9 vision training for learning how to learn to use the
10 new vision status. Thank you.

11 DR. WEISS: Richard and then Janet.

12 MR. BUNNER: Just a general question on the
13 patient brochure, on pages 9 and 13, there's
14 reference to contraindication of patient rubbing
15 their eyes, and I notice that there was no adverse
16 effects related to that postoperatively, but is there
17 any greater risk with eye rubbing as a
18 contraindication than there would be with IOLs?

19 MR. HILL: The answer is there is
20 significant risk for a chronic eye rubber, and we
21 should call that out. If it's not clear in the
22 labeling, we should do that because this device
23 protrudes very slightly through the plane of the
24 iris. So an individual who particularly vigorously
25 rubs their eye, there is potential for the device and

1 cornea to come in contact. I must say, we don't have
2 any specific evidence of that occurring, but it is a
3 potential risk, and I would advise any surgeon to not
4 implant a chronic eye rubber.

5 Same thing would apply for someone, there
6 are a few individuals who sleep on their face and
7 sleep on the one eyes. That could be a risk also.
8 So those subtle things should be avoided, but in
9 general, avoid eye rubbing.

10 MR. BUNNER: None of the 217 patients,
11 there was no adverse events related to eye rubbing?

12 MR. HILL: I can't say with any authority
13 because none of us were there. So we can't say.

14 DR. WEISS: Janet.

15 DR. SZLYK: I just have two questions. One
16 relates to the quality of life data. I realize that
17 the primary effectiveness measure was visual acuity.
18 I was wondering if there was any information that you
19 could provide on the variance of the quality of life
20 data that were presented by Dr. Stulting for the
21 improvements and if that may impact our
22 interpretation of these data in any way?

23 MR. HILL: We previously submitted to FDA
24 submissions, there was -- I think this would be in
25 regard to relationship between visual acuity and

1 improvement in quality of life. In terms of other
2 factors, I can't necessarily elaborate, but as it
3 relates to improvement in visual acuity, those
4 subjects who gained two lines or more in both
5 distance and vision had a statistically significant
6 and clinically meaningful improvement in quality of
7 life as compared to those who did not.

8 Is there a lesson there that possibly we
9 can look at? I think with utilization of the
10 external telescopes, we do look at both distance and
11 near. We did not consider that factor, of course,
12 before doing the trial but, you know, I think the
13 improvement was approximately on the composite score
14 of about seven points, in the VFQ, for those with
15 both, two lines of both, versus those that didn't.
16 Is that --

17 DR. SZLYK: That answers my question.

18 MR. HILL: Okay. Thank you.

19 DR. SZLYK: So it was for that subgroup
20 that you found.

21 DR. WEISS: Did you have a follow-up
22 question?

23 DR. SZLYK: Yes.

24 DR. WEISS: That's fine.

25 DR. SZLYK: A second question. Dr. Schein

1 talked about the low vision strategies that were
2 employed prior to enrollment in the study and the
3 decision whether to have the implantation. I was
4 just wondering if eccentric viewing was also
5 considered in that low vision rehabilitation before,
6 prior to surgery, given that we're seeing the effect
7 of the Stargardt patients who have a lifelong
8 adaptation to eccentric viewing, that this may be
9 employed for all patients.

10 MR. HILL: If you wouldn't mind, would you
11 summarize your question or your statement again,
12 please?

13 DR. SZLYK: So my question was you had a
14 low vision rehabilitation specialist working up the
15 patients ahead of time. Was there any eccentric
16 viewing training that was done?

17 MR. HILL: Eccentric viewing is discussed
18 as a formal part of the training program. It is more
19 pronounced in the revised training program that we're
20 recommending, assuming we're approved. So the answer
21 is yes.

22 DR. SZLYK: Thank you.

23 DR. SUNNESS: I have a related question.

24 DR. WEISS: Yes.

25 DR. SUNNESS: Did you find if people had to

1 go more eccentric, and this is sometimes the case in
2 Stargardt's patients, was it harder for them to use
3 the telescope?

4 MR. HILL: I'm going to defer to Dr. Peli
5 on that. I don't believe we have any data on that,
6 Dr. Sunness, I'm sorry, but Dr. Peli.

7 DR. PELI: There was no data collected with
8 imaging as low or night or anything of that sort or
9 even visual field that could have possibly get, but
10 the field of the telescope on the retina, even for
11 the 3X model, is in the range of 50 degrees. So the
12 scotoma, the lesion, is what, large is 10 degrees.
13 We're talking about a small fraction of that field,
14 and there's no field interference. So I think this
15 is not playing the role except in the case where it's
16 completely -- or something like that.

17 DR. WEISS: We're going to have Eve, David,
18 then Frederick, and then most likely end this
19 question session subsequently and then have a 10-
20 minute break. So I'm just going to give you a little
21 bit of what's to come. Eve.

22 DR. HIGGINBOTHAM: I have three questions.
23 I think two of them are rather quick. On page 88 of
24 the executive summary, it's noted that 18 percent of
25 the patients had pigment inflammatory deposits. It's

1 certainly expected that there will be some
2 inflammation. What was not noted, however, was
3 whether or not there was any visualization of the
4 angle. My concern is if you have significant
5 inflammation early on, if there is some zipping of
6 the angle that can occur over time, that may not
7 actually demonstrate any clinical evidence of an
8 issue until years down the line. So if you could
9 comment on the gonioscopic appearance of these
10 patients in this long-term study.

11 MR. HILL: If I may answer the questions in
12 reverse order. Regarding the gonioscopy, we have
13 measures on approximately 40 patients. I do not
14 believe they're in here. The angles were wide open
15 in all but one patient. That patient in particular
16 had a tilted IMT, one loop was in the sulcus, one was
17 in the bag. Dr. Stulting on chronic inflammation.

18 DR. STULTING: The cylinder of the IMT
19 protrudes through the pupil very slightly, and so
20 when these patients constrict their pupils
21 postoperatively, the iris comes into contact with the
22 cylinder, and I think that's the mechanism for the
23 inflammatory and pigmentary deposits that we saw on a
24 few of these eyes. We treated some of them with
25 steroids when they developed the inflammatory

1 deposits usually a few months after the implantation,
2 and they all went away. I think the iris habitually,
3 I think that you get an adaptation so that the iris
4 no longer bangs up against the cylinder, and we had
5 no one who had chronic inflammation as a result.

6 DR. HIGGINBOTHAM: I guess my question was
7 not so much the mechanism of the inflammation but
8 what was the appearance of the end goal among those
9 patients that had significant inflammation early on?
10 There were about 51 eyes.

11 DR. STULTING: In my population, there was
12 no discernible difference, and I'll turn the floor
13 over to Steve Lane and Mr. Hill to address it
14 further.

15 DR. LANE: I think the ideology obviously,
16 as Doyle pointed out, in the large incision and the
17 greater amount of surgery for the inflammation, but
18 it was an acute inflammatory process due to the
19 surgery that cleared with steroids, and actually just
20 to amplify on Doyle's statement just a little bit,
21 the amount of pigment was fairly minimal. It's the
22 amount that was sort of collected as it banged up.
23 It was mainly seen at the base of the cylinder
24 anteriorly. So when we did gonioscopy on these
25 patients, it was very little pigment in the angle to

1 be cleared. It wasn't sort of a huge influx of major
2 pigmentary loss. It was a small amount of pigment
3 that was noted. And, in fact, we actually modified
4 the protocol following the first few implantations to
5 chronically dilate the patient for the first month or
6 so after surgery so that you avoided that hippus
7 effect against the cylinder, and that basically
8 significantly reduced the amount of inflammation.
9 But I think to answer your question, the amount of
10 inflammatory debris seen in the angle, the amount of
11 pigment seen in the angle was minimal.

12 DR. WEISS: Thank you. David.

13 DR. HIGGINBOTHAM: I wasn't -- well --

14 DR. WEISS: Eve, if you had a follow-up,
15 that's fine.

16 DR. HIGGINBOTHAM: Well, yeah. Well, I
17 have a follow-up, and I had a couple of other
18 questions. But it does appear in the 50 patients
19 that have the significant inflammation, that
20 gonioscopy was done on a formal basis to actually
21 determine if there were any peripheral anterior
22 sneaky eye, not just pigment scattered in the angle.

23 MR. HILL: Allen Hill. I don't believe we
24 will be able to answer that question. The gonioscopy
25 was done later in the study at the request of FDA.

1 So that population of approximately 40 patients was
2 done at approximately 36 months postop.

3 DR. WEISS: So we don't know how many of
4 those patients intercepted the 50 that had high
5 pressure versus the 40 that had gonioscopy
6 afterwards?

7 MR. HILL: We have not done that analysis,
8 no.

9 DR. WEISS: Okay. So we don't have that
10 information.

11 DR. HIGGINBOTHAM: I had two quick
12 questions. The 50 percent of the Stargardt's
13 patients that were explanted, given that high number,
14 is it possible to tease out some additional patient
15 selection criteria that would help guide future
16 surgeons?

17 MR. HILL: That's a good point. We are not
18 recommending or not recommending that Stargardt's
19 patients be selected for implant at this time.

20 DR. HIGGINBOTHAM: Okay. And then just one
21 final question, Madam Chair. The number of cells
22 that one can lose and still maintain clarity, I
23 recognize, is not something that anyone can predict,
24 but as a glaucoma specialist, I'd like to get some
25 reassurance that if you go as low as 750 which, of

1 course, is certainly far out on Dr. Schein's risk
2 model, but even when you think about 15 years out,
3 and losing as many as 50 percent of your cells, that
4 seems like a lot of cells that one can actually
5 achieve in this model that's presented here.

6 I guess if either Dr. Edelhauser, who I
7 know is here as well as the cornea specialist, can
8 just reassure this glaucoma specialist that losing 50
9 percent of one's cells is still compatible with a
10 clear cornea and not necessarily would suggest that
11 all these patients will go to corneal transplant.

12 DR. STULTING: Doyle Stulting. I think the
13 literature and common experience and common knowledge
14 will tell us that 300 to 500 endothelial cells per
15 millimeter squared is the threshold for the onset of
16 corneal edema, rather than the percent of cells that
17 are lost. In fact, the estimates that the company
18 has made with regard to initial cell counts are ones
19 that I personally have argued against because I
20 thought that they were much too conservative, and
21 they're much too conservative in a couple of ways.

22 First, the mathematical model looking at
23 chronic endothelial cell loss predicts a greater cell
24 loss than was actually observed in this population or
25 any of the reported populations using traditional

1 surgical techniques which are essentially the same.
2 So it's conservative in that way. We chose a cutoff
3 of 750 cells per millimeter squared, which is
4 conservative as well.

5 This is an elderly population of patients
6 who are very likely to outlive corneal edema if it
7 occurs.

8 The other way that we are very conservative
9 is that the onset of corneal edema in a person who
10 has potential 20/20 retinal acuity can be problematic
11 when the corneal thickness increases very slightly.
12 But remember, these are patients who are
13 significantly visually impaired. So they have to get
14 more than just a little bit of corneal edema for it
15 to be a vision limiting factor.

16 So for those and other reasons, I think
17 that the grid that was created is much more
18 conservative than we really need to have as a
19 guideline.

20 DR. HIGGINBOTHAM: Thank you.

21 DR. WEISS: Actually, Doyle, if you could
22 stay up there for a moment, and I may need Hank to
23 also answer this one. The minimal ECD grid that was
24 presented with females of 65 to 69 requiring 3200
25 cells and males 2800 cells, what is the typical --

1 that's not in my population. So what's the typical
2 patient in that age category have, and with those
3 criteria, would you be able to enroll any patients?

4 DR. STULTING: That's part of the reason
5 that I am a little bit considered about the layers of
6 conservatism that went into these calculations. They
7 compound themselves because we're selecting an
8 endothelial cell density for the endpoint that is
9 higher than what we know brings on corneal edema.
10 We're selecting a rate of decompensation that is
11 higher than what we measured. We're going to the 90
12 percent confidence interval, which adds more
13 conservative padding, and we're looking at all comers
14 rather than those that are implanted by trained
15 surgeons.

16 So for a number of reasons, these numbers
17 are very, very conservative, and I do have some
18 concern about whether that might exclude some people
19 who would like to have the implant, knowing the risk
20 and knowing that they may face the issue of visually
21 significant corneal edema down the road.

22 We still may be able to give these people
23 who have 10 years perhaps of life expectancy another
24 five or six years of very good benefit from the IMT
25 before a problem arises, and it's a good point.

1 DR. WEISS: Just to clarify though for the
2 Panel, do you have -- I'm sure, Hank, you probably
3 have numbers, in age 65 or 65 to 69, what would
4 average endothelial cell count be?

5 MR. HILL: The average ECD for the patient
6 population on entry was 2500 cells per millimeter
7 squared. As you would expect, on the younger, in the
8 trial, we enrolled patients down to age 55. As you
9 would expect, they had somewhat higher ECDs and then
10 it diminished with time. It's not in this
11 submission, but it was stratified in the information
12 that we provided to FDA in the original submission.
13 They are, however, modest differences as you go out
14 over time.

15 DR. WEISS: Okay. So practically, just
16 dealing with a real life situation, if we were going
17 to use the minimal ECD grid as a guidance, we
18 wouldn't be able to enroll most likely anyone less
19 than 70 because they wouldn't have -- you wouldn't be
20 finding people with that number of cells. So either
21 you have to restrict yourself to the older age
22 categories, 70 or above, and I don't know if those
23 people still, if you increase the age, would have as
24 many endothelial cell counts as you'd need, or you'd
25 be forced to specify we need, do you have a corneal

1 surgeon, no guttata or ACD of 3 or more. Is my
2 understanding correct?

3 MR. HILL: That's correct. I think the
4 issue before the Panel today regarding the grid that
5 is preferred and recommended by the Panel will be
6 addressing that exact issue. If we take all IMT-
7 implanted eyes or IMT-implanted eyes in a grid
8 developed from that, you are correct. There will be
9 very limited patients that would meet that criteria.
10 On the other hand, as presented and discussed by
11 Dr. Schein, if there is a recommendation to select
12 the grid that's based on not the full risk reduction
13 but the partial risk reduction of non-guttata eyes,
14 ACD greater than 3, then there is a reasonable
15 likelihood that we would get at least, I would
16 estimate, approximately 50 percent of the population
17 in that age group that would be candidate patients.

18 DR. WEISS: Thank you very much. David.

19 DR. MUSCH: I have two questions/comments.
20 The first is with my epidemiology hat on, and this is
21 directed to Dr. Schein who is not only an excellent
22 anterior segment surgeon, but I know he's an
23 epidemiologist, too, and that relates to risk-
24 reduction strategies that were employed. If you,
25 like we Panel members, read the executive summary,

1 you will see that (a) the post hoc testing that was
2 employed, I understand was mostly driven by either
3 questions from the FDA or questions from the previous
4 Panel. And so you were beholden to do many of those
5 post hoc tests. I understand that, but yet you are
6 being held to a standard that there is bias
7 introduced by post hoc testing, and you might be
8 criticized for relying upon multiple testing and not
9 adjusting your p-values for that.

10 Now I didn't see many p-values in your
11 report, but tell me, did you make your risk-reduction
12 strategy judgments based on p-values or based on
13 biological and clinical plausibility?

14 DR. SCHEIN: Well, I think, as you know,
15 the biggest issue with post hoc analysis comes up in
16 randomized clinical trials, you know, comparing, for
17 example, drug A to drug B. You do the overall
18 comparisons that were intended, announced beforehand.
19 You find no effect, and then you start searching for
20 subgroups in which, in effect, in the same
21 comparisons A to B come out. And then if you draw
22 inferences from that, you've gone down this slippery
23 slope.

24 I think this is a very different situation
25 here in that we have a case series with endpoints

1 that were established on a full cohort, and I think
2 the safety and efficacy data stand on the full
3 cohort. And then there's some obvious commonsense
4 clinical factors that one can look at, but these are,
5 you know, looking at guttata as a risk factor for
6 corneal edema is self-evident. Anterior chamber
7 depth, I believe, is equally self-evident. The
8 corneal training is not built into the grid, but
9 there is a clear effect and, in fact, that was
10 probably the strongest of the three effects that were
11 found, and there's something there, whether it's, you
12 know, an innate respect for the cornea or it's
13 dealing with large wounds or, you know, the gray hair
14 and the large experience with extracaps earlier in
15 life. Something is there, and they're biologically
16 or surgically based on plausibility, not statistical
17 analyses.

18 So when one applies these at the end, it's
19 with an attempt to make the introduction of the
20 device into the population that will receive it more
21 safe. It's not -- the only place where there's a
22 potential post hoc analysis is in the derivation of a
23 grid, and every piece of the grid, of any grid that
24 anyone chooses is based on assumptions. Each one of
25 those assumptions can be held to higher or lower

1 specificity thresholds.

2 DR. MUSCH: Thank you. And my second
3 question relates to the decision to include subjects
4 who had an initial vision of 20/80 to 20/160. There
5 are sections in the report where you refer to a
6 patient with 20/100 vision as being quite functional
7 in daily life activities, recognizing forms and
8 things like that. I wondered why you set that
9 threshold so low, and perhaps you could give me an
10 example of a patient who presents with 20/80 vision
11 and yet would be a candidate for the IMT. And I want
12 to add that there were only 15 patients that fell
13 within that category.

14 MR. HILL: I'm better quoting the
15 statistics and the data than I am regarding
16 necessarily patient selection, but let me address
17 some of the numbers.

18 In that group that were 20/160 or better,
19 in terms of achieving the visual acuity endpoint,
20 they were met. I think it's approximately 80 percent
21 of that small group that achieved two lines or
22 better. In terms of why, to go back to why did we
23 select that, that was on the advice and counsel of a
24 number of advisors that participated in the
25 development of our clinical trial in concert with

1 discussions with the Food and Drug Administration.
2 The level of 20/80 is pretty well recognized with WHO
3 and other organizations as being the onset of a sign
4 of visual impairment, and that was one of the primary
5 driving factors.

6 We also looked at what were, and we didn't
7 know at the time, what were the theoretical
8 improvements that we could see in visual acuity with
9 this patient group. Dr. Peli has been an advisor to
10 us for a long period of time. We have known for that
11 long period of time that subjects that have better
12 visual acuity will not yield the full benefit of a
13 telescope as compared to individuals that have severe
14 vision impairment. However, there's still good
15 potential to gain significant vision, which I think
16 was borne out by the trial. All I can say is the
17 data stands on its own in regard to the achievement
18 of visual acuity and did that group, for instance,
19 gain -- did that percentage gain, let's say the
20 percent gained in three lines, was it as significant?
21 The answer is no. But did they gain two lines? Yes.

22 So we're in that fine area between what is
23 significant. I think the literature is varied on
24 that issue, whether two lines or three lines is
25 significant, but I think both are meaningful, and I

1 can understand what Dr. Peli says, more vision is
2 better vision in this patient group.

3 DR. MUSCH: It's been instructive, too, to
4 provide us with mean visual acuity for those various
5 strata of baseline visual acuity.

6 MR. HILL: We can do that.

7 DR. MUSCH: Okay.

8 DR. PELI: Eli Peli. If I can just add a
9 little to that. So what happened is that patients
10 that have worse acuity gain more in terms of acuity
11 with a telescope, but if you think about what
12 patients do with acuity, then as they lose what they
13 can do, is shrinking, so if you take yourself on a
14 lower place on that pyramid, then they can get to do
15 more. So actually when I have a patient walking in,
16 and I open the chart and it shows something in the
17 range of 20/80 to 20/100, I'm good. This is going to
18 be a good session. Everybody's going to be happy.
19 And so that's not any different with a telescope.
20 It's going to give them more functionality in terms
21 of the number of things they can do and improve with.

22 DR. WEISS: Thank you. We're going to have
23 Frederick, and then Alice, and I would like to make
24 the questions briefer now and the answers briefer as
25 well.

1 DR. FERRIS: I don't know how brief I can
2 be. I'd like to start by saying that I think people
3 ought to be given choices and that this sponsor has
4 done a pretty nice job at least to me to show that
5 some people clearly benefit from this device, and I
6 think we heard from some people who clearly
7 benefited, and we didn't even hear from the cats.

8 So some people benefit. Our job,
9 unfortunately, is that we're left with balancing the
10 benefits with the risks, and that's where I start
11 having a hard time. As Oliver said, this is a case
12 series basically, and you have to use historical
13 controls or other controls. I think some of the data
14 that we were given, are we to compare this
15 intraocular telescope with just an intraocular lens,
16 or do we compare it with an intraocular lens plus an
17 external telescope? And I agree completely with Eli
18 that if you could have an internal telescope, that
19 would be better, but some of the data I think, for
20 example, it seems a little ingenuous to me to say,
21 well, more of the intraocular lens patients lost
22 vision than the telescope patients because, and if
23 I'm wrong, I'd like to be corrected, those weren't
24 intraocular lens acuities plus a telescope. Those
25 were just the intraocular lens acuities.

1 So, of course, I presume it's against
2 baseline visual acuity without a telescope. So, of
3 course, if you have telescope, you're more likely to
4 not lose vision. So it's not exactly apples to
5 apples, and I just want to make sure that I'm correct
6 about that. I'm not sure that it makes a huge
7 difference in the way I look at this, but I think if
8 we have not learned anything from the LASIK
9 experiments where most people do very well, but some
10 people, and there's a website I guess,
11 lasiksucksforyou.com. There's some people who are
12 very upset, and I think they're upset mostly because
13 they didn't understand in advance what their risks
14 were. So it seems to me that our job is to make sure
15 we can tell people what their excess risk is, and
16 maybe Oliver can help me out. I'm not sure if
17 anybody can really tell me what I'd love to know, and
18 that is if I have cataract surgery, I have a risk of
19 developing corneal edema. If I have cataract
20 surgery, I have a risk of developing a retinal
21 detachment. Those don't go away with this procedure,
22 and they may be enhanced somewhat, and it's the
23 somewhat that I don't know. Are you at double the
24 risk or are you triple the risk?

25 And I think it would be important to be

1 able to tell patients that you have this benefit but
2 you're going to double your risk of developing
3 corneal edema, and I don't know what the denominator
4 to the seven patients that have corneal edema is.
5 It's a little hard to know what that percent is, and
6 it's hard for me to know, well, if I had a comparable
7 group, these are pretty old patients, how many of
8 them would have developed corneal edema if they just
9 had cataract surgery.

10 So I don't know what the relative risk is.
11 I don't know what the relative risk, if and when.
12 Some of these people are surely going to develop
13 retinal detachment. Their excess risk for retinal
14 detachment based on cataract surgery doesn't -- I
15 assume the devices doesn't protect them from that.
16 So they may have some excess risk with regard to the
17 success of their retinal detachment surgery, both
18 noticing the detachment early on as well as repairing
19 it because of maybe somewhat difficult observation
20 during surgery.

21 So I wonder, for me, it would be important
22 for the sponsor to try to give us estimates of these
23 risks that we can tell our patients, here's the
24 benefits that you can get but make sure you
25 understand that there's no free lunch and here's your

1 excess risks.

2 DR. WEISS: Yeah.

3 MR. HILL: Allen Hill, Dr. Ferris. We
4 agree. We should fully inform the patient of the
5 risk. In our proposed labeling, very similar to what
6 Dr. Schein discussed in his clinical perspective, we
7 intend to include explicit risks and strongly
8 recommend that there be a discreet physician/patient
9 conversation regarding the risk of this device. The
10 risk of corneal edema is, from my perspective, higher
11 than what you would find in the normal population of
12 elderly that are undergoing conventional cataract
13 surgery. The information presented on lines lost
14 were in fellow eyes, that was really a safety
15 measure. I won't add to that, but I believe you are
16 fully correct, and we should be very explicit about
17 risk.

18 DR. FERRIS: I'd like to just make one last
19 comment. I know you want to get me off this, but I
20 agree with something that Doyle said, and that is
21 Oliver put together a nice grid, and it says if you
22 fit within this grid, your chances of having corneal
23 edema are dramatically reduced. On the other hand, I
24 can imagine a patient who has good, very good reason
25 for one of these implants who has a somewhat lower

1 endothelial cell count, and it seems to me that as
2 long as Doyle tells them, look, we can do this,
3 you're going to be at somewhat extra risk, here's
4 some of the things we're going to do to prevent that
5 from happening, and make sure you document that the
6 patient understands that. There's nothing that I
7 know of that would prevent him from going ahead and
8 implanting this device.

9 DR. WEISS: Alice.

10 DR. MATOBA: I agree with all those
11 comments, and also I think that in patients who do
12 have a significant visual loss from macular edema,
13 the effect of the corneal edema on their visual
14 quality may not be as great as someone who started
15 out with 20/20 immediately after cataract surgery.
16 So that should also be taken into account, and
17 although the risks are there, it may not be as great
18 in terms of impact on a patient as it would be in a
19 normal patient.

20 And along the same lines, I'd like to say
21 that these risk reduction strategies that Oliver put
22 together, the second one, 65 years or older, it
23 almost seems to imply that if you're under 65, you're
24 not a good candidate for this implant, and yet that's
25 the age group where you're most active and you may

1 have the most to gain from better vision, and somehow
2 this one thing is different from the others in that
3 the risk benefit -- all the others like AC depth of
4 greater than 3, it's just purely risk, but this is
5 risk benefit, and I wonder if you might rethink it or
6 reword it or do something different about that age
7 group because you haven't clearly shown that -- well,
8 anyway, I'll stop right there.

9 DR. FERRIS: Some of us don't view 65 as
10 old. I don't.

11 DR. MATOBA: No, not at all.

12 MR. HILL: Dr. Matoba, Allen Hill. We did
13 include or enroll patients 55 and older in the trial.
14 There were just a few. We would welcome the Panel's
15 recommendations on how we would approach that.

16 DR. WEISS: We're going to close this
17 session, and there will be an opportunity for
18 questions later on as well, as well as the sponsor
19 following up some of the questions that were asked
20 here.

21 We're going to have a 10-minute break, and
22 then we're going to come back to the room for the FDA
23 presentation.

24 (Off the record.)

25 (On the record.)

1 DR. WEISS: I call this meeting back to
2 order. We are now going to hear the FDA
3 presentation. Malvina wants 60 more seconds.

4 DR. EYDELMAN: I have to account for
5 people's caffeine intake in the morning.

6 DR. WEISS: Now we can start. I forgot we
7 need the presenter. Details, details. So now I
8 would actually like to call the meeting back to
9 order.

10 We're going to start with the FDA
11 presentation, and the first FDA presenter is Don
12 Calogero, the review team leader for this PMA.

13 MR. CALOGERO: Thank you. Hi, there. I'm
14 Don Calogero, team leader for this PMA for the IMT.

15 Briefly, I want to go over the regulatory
16 history. At the IDE phase, the original IDE
17 application was approved to begin the clinical study
18 May 2000. In October 2002, the IMT-002 protocol was
19 approved, and then in February 2006, the long-term
20 monitoring protocol was approved.

21 The PMA was submitted in 2005 in multiple
22 phases as you can see this, from 0 to 4, and module 4
23 was the clinical data which converted to a PMA.

24 On July 14, 2006, this PMA was taken to the
25 Ophthalmic Panel meeting, and on a 10 to 3 vote, they

1 voted not approvable due to safety and effectiveness
2 concerns with the device.

3 After that Panel meeting in 2006, the
4 sponsor subsequently submitted amendments 6 to 19 to
5 address outstanding issues with their device.

6 I want to thank the FDA review team. As
7 you can see, it's fairly extensive. I won't review
8 all of their names, but this was our FDA review team.

9 The FDA presentation today consists of four
10 parts. Dr. Lepri will go over the general clinical
11 summary. Gene Hilmantel will go over the specular
12 microscopy summary. Yao Huang will go over the
13 statistical summary, and Michelle Bonhomme will talk
14 about postmarket approval summary.

15 So now Dr. Lepri will talk about the
16 general clinical issues.

17 DR. LEPRI: Good morning, distinguished
18 members of the Panel, VisionCare Technologies, FDA
19 colleagues and guests.

20 This morning I will present to the Panel a
21 brief summary of the sponsor's responses to
22 recommendations from the July 2006 Panel meeting
23 along with other clinical issues.

24 The IMT study population has evolved over
25 time. Two protocols were instituted. The original

1 protocol was IMT-002 where 218 subjects were
2 enrolled. Of these, 206 were successfully implanted;
3 129 subjects who participated in and completed 24
4 months follow-up of the original PMA clinical trial
5 were asked to voluntarily participate in protocol
6 IMT-002-LTM, the long-term monitoring study.

7 This chart provides the accountability of
8 the IMT-002 protocol. Throughout the PMA clinical
9 trial, accountability was excellent. At 12 months,
10 accountability was reported at 97.5 percent and, at
11 24 months, 92.6 percent highlighted in the bottom
12 row.

13 Here we have a tabulation of the
14 accountability of the long-term monitoring study that
15 was conducted by the sponsor. 129 subjects who
16 participated in and completed 24 months follow-up of
17 the original PMA clinical trial were asked to
18 voluntarily participate in the long-term monitoring
19 study. Only three subjects were available at 30
20 months due to the time involved in the re-enrollment
21 process, but then you can see it progresses rapidly.
22 At 48 months postop, accountability is 86 percent.

23 Since submission of the original PMA, the
24 sponsor has modified their statement of indications
25 along with the addition of contraindications and

1 warnings resulting from in-depth analyses of the
2 ongoing data. These modifications include age,
3 anterior chamber depth, integrity of the corneal
4 endothelium at enrollment, as well as other factors.
5 The Panel will be asked to provide recommendations on
6 this proposed patient population.

7 The statement of indications under
8 consideration by the Panel reads as follows:

9 The Implantable Miniature Telescope is
10 indicated to improve vision by monocular implantation
11 in patients 65 years of age or older with stable
12 moderate (distance BCVA of 20/80 or poorer) to
13 profound (distance BCVA of 20/800 or better) vision
14 impairment caused by bilateral central scotomas
15 associated with end-stage age-related macular
16 degeneration. Subjects selected for implantation
17 should meet the following criteria: retinal findings
18 of geographic atrophy or disciform scar with foveal
19 involvement as determined by fluorescein angiography;
20 evidence of cataract; at least a five-letter
21 improvement of the Early Treatment Diabetic
22 Retinopathy Study Chart with an external telescope;
23 adequate peripheral vision in the eye not scheduled
24 for surgery; and willingness to participate in a
25 postoperative visual training/rehabilitation program.

1 In addition to the modifications to the
2 statement of indications, the sponsor has proposed
3 several new contraindications which further define
4 the patient population.

5 This slide summarizes the differences in
6 the proposed population between the 2006 and the 2009
7 Panel meetings. In 2006, the minimum age was 55. In
8 2009, the minimum age is 65.

9 The originally proposed patient population
10 was for patients who had stable central vision
11 disorders resulting from AMD determined by
12 fluorescein angiography. This was changed to stable,
13 moderate to profound central vision impairment, for
14 example, distance BCA of 20/80 or poorer to 20/800 or
15 better, due to bilateral central scotomas associated
16 with end-stage macular degeneration defined as
17 retinal findings of geographic atrophy or disciform
18 scar with foveal involvement as determined by
19 fluorescein angiography. And originally, IMT
20 patients were to show interest in participating in a
21 vision rehabilitation program, and now they must be
22 willing to participate in one.

23 Continuing on, a contraindication for
24 patients for corneal guttata has been added to the
25 definition of the patient population because it was

1 identified that these patients are higher risk for
2 ECD loss. Originally, there were no
3 contraindications for a specific anterior chamber
4 depth. The anterior chamber depth was specified in
5 the inclusion criteria but not contraindicated in the
6 labeling. The sponsor has added a contraindication
7 restricting anterior chamber depths of less than 3
8 millimeters.

9 The original IMT protocol contraindicated
10 subjects with an ECD of less than 1600 cells per
11 millimeter squared. Because postoperative ECD loss
12 over the life span is a significant clinical entity,
13 the sponsor has now proposed a minimum baseline ECD
14 grid based upon age of entry and proposed life
15 expectancy that they believe will enhance the safety
16 profile of the IMT.

17 Effectiveness. The effectiveness endpoints
18 of the IMT trial reported changes in visual acuity by
19 lines gained or lost. FDA requested that the acuity
20 outcomes be presented by the actual mean visual
21 acuity achieved by 24 months. The mean best-
22 corrected distance visual acuity at baseline was
23 20/312. By 12 months postop, it had improved to
24 20/141, and by 24 months, it was 20/149 as measured
25 on an ETDRS acuity chart.

1 Near acuities were also reported in this
2 study, and the mean best-corrected near visual acuity
3 at 16 inches was 20/262 at baseline. At 12 months
4 postop, it had improved to 20/149, and at 24 months,
5 20/157.

6 In this study of population, it was also
7 important to analyze the change in degree of visual
8 impairment. Over 75 percent of subjects in the
9 clinical trial improved their degree of visual
10 impairment; 72 percent of subjects categorized as
11 having severe or profound visual impairment in this
12 study achieved postoperative visual acuities at one
13 year ranging from 20/80 to 20/160. At two years
14 postop, 70 percent of those with severe or profound
15 visual impairment achieved an acuity ranging from
16 20/80 to 20/160.

17 The improvement in visual acuity and degree
18 of visual impairment combined provide a clearer
19 picture of the potential overall effectiveness of the
20 IMT.

21 The sponsor was asked to stratify visual
22 acuity outcomes based on whether the implanted eye
23 was the subject's better or worse eye. For all IMT-
24 implanted eyes and a 24-month consistent cohort, the
25 difference in visual acuity between IMT-implanted

1 eyes that were worse versus those that were better or
2 the same at baseline persisted through the course of
3 this study. The difference was largest at baseline
4 and smaller at follow-up visits, with relatively
5 small between-group differences observed. The
6 analysis revealed that there were no major
7 differences, only small ones.

8 Corneal adverse events and specifically
9 transplants have undergone in-depth analyses. The
10 following tables present the tabulation of explants
11 and corneal transplants up to and beyond the 24-month
12 follow-up period. In other words, they include
13 events from both protocols IMT-002 and IMT-002-LTM,
14 the long-term monitoring study.

15 The Panel will be asked to provide
16 recommendations on these analyses with respect to the
17 safety of the IMT.

18 Eleven subjects were not successfully
19 implanted. Five implantations were aborted at the
20 time of surgery due to unrelated surgical
21 complications. Six IMTs were removed
22 intraoperatively. Eight IMTs were removed
23 postoperatively within the first 24 months of the
24 study, for a total of 14 removals within the first 24
25 months.

1 There were four additional explants that
2 occurred after the 24-month time interval, bringing
3 the total of postoperative explants to 12, which is
4 5.3 percent of the operated implanted population. Of
5 these 12 subjects that had the device removed, 2 were
6 due to device failures regarding cracked housing and
7 condensation, 8 explants were due to dissatisfaction,
8 and 2 cases were due to corneal decompensation.
9 Subsequently, the total number of IMT removals,
10 intraoperative plus postoperative, for all time
11 periods was 18 or 8.3 percent of the population.

12 Overall, evaluation of the endothelium
13 reveals that at the final visit for each patient,
14 there were about 9 eyes with unresolved corneal
15 edema, 4 resulted in decompensation with transplant,
16 2 decompensations occurred without transplant, and
17 there are apparently 3 additional cases of ongoing
18 corneal edema.

19 The analyses further show that there are 19
20 eyes or 9.2 percent of the original PMA cohort of 206
21 implanted eyes that had ECDs less than 750 at the
22 last reported visit, and there are 31 or 15 percent
23 of eyes with ECDs less than 1,000.

24 The Panel will be asked to address the
25 safety and effectiveness in the following question:

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 The Implantable Miniature Telescope is indicated to
2 improve vision by monocular implantation in patients
3 65 years of age or older with stable moderate
4 (distance BCVA of 20/80 or poorer) to profound vision
5 impairment (distance BCVA 20/800 or better) caused by
6 bilateral central scotomas associated with end-stage
7 age-related macular degeneration. The patients must
8 meet the following criteria: retinal findings of
9 geographic atrophy or disciform scar with foveal
10 involvement, as determined by fluorescein
11 angiography; evidence of cataract; at least a five-
12 letter improvement of the ETDRS chart with an
13 external telescope; adequate peripheral vision in the
14 eye not scheduled for surgery; as well as a
15 willingness to participate in a postoperative visual
16 training/rehabilitation program.

17 The contraindications under consideration
18 are evidence of corneal guttata; anterior chamber
19 depth of less than three millimeters; the IMT is
20 contraindicated in patients who do not meet the
21 minimum age and endothelial cell density as specified
22 in the proposed grid; additional contraindications as
23 proposed by the sponsor in the labeling.

24 Please discuss whether the sponsor has
25 provided reasonable assurance of safety and

1 effectiveness of the device for the proposed
2 indications and contraindications. What, if any,
3 modifications to the proposed patient population do
4 you recommend?

5 The presence of the IMT in the anterior
6 chamber raised the question at the last Panel meeting
7 of ease of visibility of the fundus. The sponsor
8 provided an analysis of over 1800 fundus examinations
9 performed with a variety of techniques. For all of
10 these techniques, good dilation was a key factor in
11 successful performance, especially for binocular
12 indirect ophthalmoscopy and the use of the 90 diopter
13 handheld lens in conjunction with the slit lamp.

14 The techniques reported on were diagnostic
15 photography; optical coherence tomography and/or B
16 scan ultrasonography; slit lamp with a 90 diopter
17 handheld lens; fluorescein angiography; and indirect
18 ophthalmoscopy, 50 to 60 degrees of retina visible
19 when fully dilated and limited visibility when full
20 dilation was not possible.

21 The Panel will be asked to provide
22 recommendations on the evidence provided to support
23 visibility of the fundus with the IMT-implanted
24 patients.

25 In the analysis, investigators were asked

1 to rate the effectiveness of the examination
2 techniques they performed. Of the 1,821 fundus
3 examinations performed, only 9 exams were reported to
4 have had uncertain effectiveness. This translates to
5 a rate of 0.5 percent. The failure rate using the 90
6 diopter lens for fundus examinations was reported to
7 be 4 percent. Direct visualization with contact or
8 non-contact viewing lens was rated as the best and
9 most effective way to evaluate the fundus.

10 The sponsor has provided fundus images and
11 investigator reports of fundus visualization
12 performed by various techniques. Does this
13 information support adequate visualization and
14 treatment of the posterior segment of eyes implanted
15 with the IMT. If not, please provide your rationale.

16 The sponsor was also requested to analyze
17 the effect of cataract removal and IOL implant alone
18 in comparison to IMT-implanted eyes for change in
19 visual acuity. Twenty-two fellow eyes of IMT
20 subjects had cataract removal with IOL implants.
21 Their mean improvement in visual acuity was .35 lines
22 as compared to the mean improvement in IMT-implanted
23 eyes which was 3.43 lines.

24 This slide presents the graphic comparison
25 of the visual acuity improvement in IMT-implanted

1 eyes compared to the theoretical gain and gain in
2 acuity resulting from cataract removal and IOL
3 implantation. Clearly one can see that the
4 improvement in visual acuity attributed to the IMT is
5 significantly greater than that achieved by cataract
6 extraction and IOL implantation alone. So the
7 apparent contribution of cataract removal to visual
8 acuity improvement was minimal for this population.

9 This next graph shows the actual gain in
10 lines of best-corrected distance visual acuity at
11 baseline, with an external telescope, as compared to
12 the theoretical gain from the external telescope,
13 taking into account the gain attributed to cataract
14 removal. Patients with severe to profound visual
15 impairment due to end-stage macular degeneration did
16 not achieve the theoretical gains and visual acuity
17 when visual acuity was assessed using a wide field
18 external telescope.

19 The Panel will be asked to provide
20 recommendations on the effect of cataract removal and
21 IOL implantation alone as compared to the change in
22 visual acuity attributed to the IMT in the following
23 question. Has the sponsor adequately demonstrated
24 the effectiveness of the IMT, taking into account the
25 analyses of visual acuity improvement in eyes with

1 cataract removal without IMT implantation?

2 Thank you.

3 DR. HILMANTEL: Hi. I'm Gene Hilmantel,
4 and I'm going to speak about the specular microscopy
5 results.

6 Before we get started, I have some
7 information on one of the Panel questions that was
8 asked. We were able to get some data on seven eyes
9 that had the IMT explanted during the study. Five of
10 the seven either showed virtually no loss or showed a
11 gain in cell counts. One eye lost 250 cells per
12 square millimeter, and one eye lost 1200 cells per
13 square millimeter approximately.

14 I also want to clarify something about the
15 study design. A few people have referred to the
16 fellow eyes as a control group. The protocol did not
17 call for a control group in this study. Later FDA
18 did request some comparisons to the fellow eyes for
19 some of the ECD outcomes and for some of the acuity
20 outcomes. This has some utility, but these analyses
21 should be interpreted with caution. For example,
22 there were no exclusion criteria for minimum baseline
23 ECD for fellow eyes while IMT eyes had an exclusion
24 for ECDs less than 1600. Additionally, the
25 pseudophakic fellow eyes comprise quite a mix. Many

1 of these had IOLs implanted before enrollment,
2 possibly many years before enrollment. Twenty-two
3 eyes had IOLs implanted, fellow eyes I'm talking
4 about, had IOLs implanted during the study at varying
5 time points in the study.

6 Okay. In my discussion today, I'll provide
7 a brief background followed by summaries of study
8 results concerning acute and chronic loss in
9 endothelial cell density, morphometric analysis, the
10 risk of corneal decompensation and late corneal
11 edema, and the risk of eyes ending study at low
12 levels of endothelial cell density.

13 At the 2006 Panel meeting, endothelial cell
14 density data from preop to two years postop was
15 presented. The Panel expressed concerns about the
16 ECD decline presented. The Panel and subsequently
17 FDA recommended analyses of morphometric data in
18 order to help characterize endothelial changes,
19 analysis of longer-term follow-up data to estimate
20 the acute and chronic rates of ECD loss, and analysis
21 to further identify cofactors that might be used to
22 help mitigate the risk of decreased ECD.

23 The sponsor has analyzed the effect of
24 cofactors in an attempt to mitigate the risk of loss.
25 They have performed multiple post hoc tests for the

1 significance of several cofactors, including the
2 anterior chamber depth, surgical specialty, surgical
3 order, glaucoma, chronic inflammation, diabetes, and
4 guttata.

5 The sponsor has suggested that the
6 following are risk factors for endothelial cell loss:
7 baseline presence of corneal guttata, baseline
8 anterior chamber depth less than 3 millimeters,
9 implantation by a non-cornea-trained surgeon, being
10 among the first five eyes implanted by a given
11 surgeon.

12 As shown in the slide, the first two of
13 these factors have been incorporated into
14 contraindications, the third has been incorporated
15 into a warning, because of the fourth factor the
16 sponsor is requiring a special training program for
17 surgeons. Additionally, baseline ECD has been shown
18 to have some relationship to low postop ECD. Minimum
19 baseline ECD is now part of a proposed
20 contraindication.

21 The sponsor has presented results from
22 various subgroups. The all IMT-implanted cohort
23 consists of eyes that have had surgery and had the
24 IMT-implanted and not removed intraoperatively. The
25 guttata-free large ACD sub-cohort is a subset of the

1 above that only contained eyes with no guttata and
2 anterior chamber depth greater than or equal to 3
3 millimeters at baseline. It is similar to the
4 indicated population but without the age restriction
5 limiting patients to age greater than 65 and without
6 the minimum baseline ECD contraindication.

7 Sub-cohort A is a subset of this prior
8 group. It only contained patients that had no
9 guttata and ACD greater than or equal to 3
10 millimeters at baseline and patients greater than or
11 equal to 65 years of age and implanted by a cornea
12 specialty. Sub-cohort A represents approximately the
13 indicated population plus a restriction concerning
14 surgical specialty contained in a warning.
15 The sponsor also refers to sub-cohort A as the fully
16 risk reduced cohort. The sponsor has provided the
17 key outcomes for each of these three groups of eyes.

18 This table provides the number of eyes with
19 available ECD data for these cohorts. The portion in
20 black shows the numbers available in the initial IMT-
21 002 phase of the study, and the portion in red shows
22 the numbers available for the LTM or long-term
23 monitoring phase of the study. The shaded portion in
24 red shows the number of patients that reenrolled into
25 the LTM portion of the study for each sub-cohort.

1 As you can see, at least 80 to 85 percent
2 of the implanted eyes were available at each visit
3 through 24 months postop. The numbers available for
4 follow-up dropped dramatically after 24 months. All
5 of these post-24-month visits had fewer than half of
6 the number of eyes seen at preop. So keep in mind
7 that the results for this time period have to be
8 interpreted with caution.

9 I do want to point out that in that sub-
10 cohort A in the LTM phase, most patients came from
11 only one or two sites as there were very small
12 numbers of patients available.

13 As you're all well aware, the endothelium
14 is the layer of the cornea that pumps water out of
15 the cornea keeping it from getting edematous. A
16 decline in ECD to low levels, say 500 to 1,000 cells
17 per square millimeter, puts the eye at risk for
18 corneal decompensation, severe edema causing corneal
19 opacification. Just as a point of reference, from
20 mod and small incision cataract surgery, the mean
21 acute or surgery-related decline is approximately 5
22 to 8 percent in the first several months following
23 surgery.

24 The safety endpoint for specular microscopy
25 was the mean percent loss of endothelial cell density

1 at 12 months postop; in other words, the average of
2 the individual percent loss. The statistical
3 analysis called for in the protocol was to
4 demonstrate that for the population, the mean percent
5 loss in ECD was no greater than 17 percent.

6 Why was the figure of 17 percent chosen by
7 the sponsor? In the IDE stage, the sponsor did a
8 review of the literature. In published studies they
9 found that a mean ECD loss of 10 to 17 percent within
10 1 year postop was observed for large incision
11 surgeries. The FDA agreed that the 17 percent was a
12 reasonable target for the protocol.

13 The surgery-related decline at 12 months
14 postop was 25 percent for the all IMT-implanted
15 cohort; thus, they failed to reject the no
16 hypothesis. Now the protocol didn't really call for
17 looking at these different subgroups, but the decline
18 at 12 months for the sub-cohorts was 24 percent for
19 the guttata free large ACD sub-cohort and 19 percent
20 for sub-cohort A.

21 The distribution of ECDs changed in three
22 ways from preop to postop. First, there was a
23 lowering of the mean ECD. Second, there was a
24 skewing of the distribution toward lower values, and
25 third, there was a large increase in variance.

1 The second row of this table shows the mean
2 ECDs over time for the all implanted cohort. You can
3 see that the means dropped rapidly in the early
4 postop stage of the study, 22 percent at 6 months,
5 and then continued to drop at a much lower rate over
6 time. Each figure in the bottom row represents the
7 percent change in the mean from the prior 6 month
8 visit. However, the mean for each time point
9 represents a slightly different subset of eyes that
10 were available. Note that the 60-month figure in
11 this table represents data from only 17 eyes.

12 Understanding this slide is one of the keys
13 to understanding some of the safety issues related to
14 ECD changes. In this figure, you can see the
15 significant drop in the mean early in the study, but
16 you also see the large postop increase in the spread
17 of the data. I wish I had a pointer here, but you
18 can see that there's a lot of eyes down toward the
19 bottom there after surgery. What this figure doesn't
20 show is that the preop distribution had a fairly
21 normal shape, while the postop distribution was
22 skewed toward lower values.

23 We do have a figure in our executive
24 summary showing the skewing of the distribution? So
25 you can look that up later at your leisure.

1 Because of the skewing toward lower values
2 and the increased variance, it is important not to
3 look only at the mean changes. If the sample had
4 suffered a mean 25 percent ECD drop, without the
5 increase in the spread and the skewing of the
6 distribution, there would have been significantly
7 fewer eyes at low ECD levels.

8 A significant number of eyes had very low
9 postop cell counts. The bottom row of this table
10 shows the number of percent of eyes at each visit
11 that had ECDs less than 1,000. At 12 months postop,
12 11 percent of the eyes seen at that visit were below
13 1,000. There were significant changes in counts from
14 visit to visit partly because of the low precision in
15 the ECD measurement in this population.

16 This figure on the left shows how for some
17 implanted eyes the ECD measurements bounced around
18 considerably from visit to visit. The cause of poor
19 reproducibility is uncertain, but we believe that it
20 may be related to poor patient fixation during
21 specular microscopy in patients with macular
22 problems.

23 This bouncing around of the data from visit
24 to visit made analysis of the data somewhat
25 problematic, and we took several different approaches

1 to looking at the data.

2 We're now going to move on and discuss the
3 chronic ECD loss. The sponsor used a statistical
4 regression model to characterize certain aspects of
5 the ECD loss. The question arises why use a
6 statistical model at all? A statistical model
7 smoothes out bumps in the ECD data. We've seen
8 graphs of the ECD data over time that kind goes up
9 and down a little bit. It reduces the problem of
10 having different subsets of patients available at
11 different visits, and it permits the simplest
12 estimation of a constant chronic loss rate using all
13 of the data rather than just a subset.

14 In some of the analyses that have been
15 presented earlier, the loss between two time points
16 was presented. Because many eyes were unavailable
17 for later time points, these types of analyses use a
18 restricted sample of the study eyes that were
19 available at both time points.

20 There are advantages to modeling, but keep
21 in mind that modeling only estimates an average rate
22 of decline and is based upon certain artificial
23 mathematical assumptions.

24 A biexponential model was introduced by the
25 sponsor subsequent to the 2006 Panel meeting. This

1 type of model had been used in previously published
2 articles in the literature. It does not require
3 establishing a breakpoint between the time of
4 surgical loss and the time of chronic loss. My
5 colleague, Dr. Huang, will discuss some of the
6 technical details of this model later.

7 The sponsor used the biexponential model to
8 estimate the chronic rate of loss. Here you can see
9 for the all implanted group, the chronic rate was 4.8
10 percent per year. The upper confidence limit on that
11 was 6.2 percent. This 4.8 percent chronic loss is in
12 contrast to the 3 percent chronic rate cited in one
13 of the earlier presentations. The 3 percent is based
14 upon a paired analysis of approximately 85 eyes at
15 two different time points.

16 For the restricted sub-cohorts, the rates
17 were 3.8 percent for the guttata-free large ACD sub-
18 cohort and 3.4 percent for sub-cohort A.

19 The sponsor has proposed a contraindication
20 for preop ECDs below minimum baseline values for each
21 age and gender group. This grid of minimum values
22 for each group assumes the need for an end-of-life
23 ECD of 750 cells per square millimeter. The grid was
24 developed by back-calculating the minimum baseline
25 values using the upper 90 percent confidence limit on

1 the chronic rate of loss. This was estimated from
2 the biexponential model. It assumes an average life
3 span stratified by gender.

4 For the grid proposed by the sponsor, they
5 used the results from the biexponential modeling of
6 the guttata-free large ACD sub-cohort. They applied
7 the model to the data available through 48 months
8 postop. This sub-cohort had 112 to 95 available eyes
9 in the initial 24-month phase of the study and a
10 maximum of 50 available eyes with ECD data at any
11 visit in the post-24-month phase of the study. The
12 mean ECD at 12 months was estimated by using the
13 model. The estimate of the ECD at this time point
14 was 1954 cells per square millimeter. The lower 90
15 percent confidence limit on this mean was calculated
16 and used to represent the loss at one year. This
17 yielded a 24.6 percent one year loss from baseline.
18 The chronic loss from the biexponential model was 3.8
19 percent per year. The upper 90 percent confidence
20 limit on this rate of loss was 5.5 percent per year.
21 This number was used to estimate the losses for each
22 year after the first.

23 This figure helps clarify how the minimum
24 baseline ECD was determined. It shows an example for
25 the group of 65 to 69-year-old males. This group had

1 an average life span of 16.6 years. So the sponsor
2 started with the assumption that at 17 years after
3 surgery, the eye needed an ECD of at least 750. Then
4 for the year prior to that, a loss of 5.5 percent was
5 assumed and the number of 794 was calculated.

6 This type of calculation assuming 5.5
7 percent drop was repeated for each year until the
8 first year postop. For the first year, a drop of
9 24.6 percent was assumed and the baseline ECD of 2460
10 was calculated.

11 The FDA requested calculation of an
12 alternative grid based upon biexponential modeling of
13 baseline to 48-month data for the all IMT-implanted
14 cohort. This unselected group contained 206 eyes at
15 baseline, had 171 eyes available at 24 months, and
16 had a maximum of 101 ECD measurements at any visit
17 between 30 and 48 months. Calculations for this grid
18 were done in a manner similar to those for the
19 previously discussed version of the grid.

20 In this case, a 26.3 percent first year
21 loss was assumed and an annual chronic ECD loss of
22 6.2 percent was used. The 6.2 percent represents the
23 upper 90 percent confidence limit on the estimate of
24 4.8 percent per year.

25 Here we present the two grids that have

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 been constructed for possible contraindication,
2 laying out minimum preop ECDs. The first proposed by
3 the sponsor is based upon the guttata-free large ACD
4 sub-cohort. The second is based upon the all IMT-
5 implanted cohort.

6 The two are virtually the same for patients
7 greater than or equal to 75 years of age. It is in
8 the lower age groups that there are significant
9 differences. For example, for 65-year-old males, the
10 sponsor proposed grid requires a minimum ECD of 2460
11 while the alternative grid requires a minimum of 2834
12 cells per square millimeter. Differences are even
13 higher for females.

14 The Panel will be asked to provide the
15 recommendations concerning the appropriate structure
16 of the grid. In particular, they should discuss
17 whether an end-of-life ECD of 750 cells per square
18 millimeter should be regarded as sufficient to
19 prevent corneal decompensation. In discussing the
20 appropriate structure of the grid, the Panel should
21 consider the following relevant facts. IMT patients
22 will not routinely require another intraocular
23 surgery. IMT removal, if needed, may cause
24 significant surgical trauma and the grid is based
25 upon estimates of average rates of cell loss.

1 There's poor predictability of postop ECD from
2 baseline measurements for individual eyes. This last
3 point is illustrated in the following slide.

4 In this graph, the X axis is the preop ECD
5 measurement, and the Y axis is the 24-month ECD. All
6 171 eyes with ECD measurements at both of these time
7 points have been placed in a scatterplot. As you can
8 see, there's a large dispersion of the data about the
9 regression line, implying that postop ECD is pretty
10 unpredictable from the preop ECD. The regression R
11 squared is 0.18. The point of this is that even
12 eyes -- let me see if I can point here. Even eyes
13 that start the study with high cell counts, there can
14 be a significant chance of ending up with quite low
15 ECDs two years later.

16 The sponsor's constructed two grids for
17 determination of minimum preoperative ECD for various
18 age and gender groups. Both grids are based upon
19 calculations assuming an end-of-life ECD of 750 cells
20 per square millimeter.

21 Our questions are as follows: Is the
22 assumption of an end-of-life ECD of 750 cells per
23 square millimeter acceptable? If not, what do you
24 believe is appropriate?

25 One of the grids is based on the ECD

1 changes in a sub-cohort of 112 eyes, guttata-free
2 eyes, with an anterior chamber depth greater or equal
3 to 3 millimeters. The other is based upon the ECD
4 changes seen in the full cohort of 206 IMT-implanted
5 eyes. Which grid do you recommend for labeling
6 contraindications for the currently proposed patient
7 population? Please discuss your reasons for choosing
8 one or the other.

9 There are two measures of regularity in
10 endothelial size or shape that can be assessed from
11 specular microscopy images. Coefficient of variation
12 is a measure of irregularity in cell area.
13 Increases, which are generally considered
14 undesirable, indicate greater irregularity. The
15 percent hexagonality is a measure of regularity of
16 cell shape. Decreases, which are undesirable,
17 indicate greater irregularity.

18 It is sometimes said that reduction in
19 percent hexagonality and increase in coefficient of
20 variation imply continuing rapid cell loss and that
21 return to normal levels may reflect increasing
22 stability of the endothelial layer.

23 The 2006 Panel and subsequently FDA
24 requested morphometric analysis of the available
25 specular microscopy data. There was no morphometric

1 data presented at that Panel meeting. This was
2 requested in order to aid in assessing whether the
3 endothelial cell layer had stabilized.

4 This table shows the mean coefficient of
5 variation over time for a consistent cohort of IMT-
6 implanted eyes. It is apparent that there were only
7 small changes in the mean values. The sponsor has
8 stated their belief that a coefficient of variation
9 greater than 45 indicates a stressed endothelium.
10 You can see that there were few eyes that were
11 stressed according to this criterion.

12 This is a similar table showing the percent
13 hexagonality over time. Again, there were only small
14 changes in mean values with a minor dip at three
15 months which subsequently reversed and later
16 approached baseline levels. Sponsor has stated their
17 belief that a percent hexagonality less than 45
18 implies a stressed endothelium. You can see that
19 there were relatively few eyes that were stressed
20 according to this criterion.

21 In interpretation of the relatively
22 positive morphometric analyses, the following should
23 be considered. No morphometric data are available
24 for the corneal periphery as specular microscopy was
25 only done centrally. Therefore, it is unknown

1 whether the CV and percent hexagonality were normal
2 or abnormal in the periphery. Mean ECDs continued to
3 drop significantly after 24 months postoperatively.
4 For example, ECDs dropped an average of 6 percent in
5 86 IMT eyes with visits available at 24 and 48
6 months. Several new incidents of corneal edema
7 continued to occur well after the initial surgery,
8 and CV and percent hexagonality seem to show little
9 predictive value in this patient population. This is
10 discussed further in the next slide.

11 On this graph, the X axis is the percent
12 hexagonality at 24 months, and the Y axis is the ECD
13 percent change from 24 to 48 months. All IMT-
14 implanted eyes with data from both visits are shown
15 in this scatterplot. If the percent hexagonality at
16 24 months were indicative of endothelial stability,
17 you might expect eyes with lower percent hexagonality
18 would tend to have more negative percent change in
19 ECD over the next 2 years. However, there's no
20 indication of such a pattern here. Changes in ECD
21 between 24 and 48 months in this patient population
22 appear to be unrelated to the measured percent
23 hexagonality at 24 months.

24 This graph is similar to the previous one
25 except that the X axis is CV at 24 months. This

1 graph shows the percent change in ECD from 24 to 48
2 months as a function of the CV at 24 months. If the
3 CV at 24 months were indicative of endothelial
4 stability, you might expect that eyes with higher CV
5 would tend to have more negative percent change in
6 the ECD over the next two years. There's a slight
7 tendency toward this relationship shown in the graph,
8 but it seems to be largely driven by a couple of eyes
9 with very low CV. All in all, the changes in ECD
10 between 24 and 48 months in this patient population
11 appear to be largely unrelated to the measured CV at
12 24 months.

13 We're now going to go on to discuss the
14 risk of corneal edema. Corneal edema at greater than
15 3 months postop was observed in 13 eyes in the study.
16 One of these cases was in a non-implanted eye. The
17 remaining 12 cases were in IMT-implanted eyes. Ten
18 of these twelve were observed at 24 months or later.

19 In 3 of the 12 cases, in IMT-implanted
20 eyes, the edema was reported to have resolved. In
21 two of these three eyes, the etiology was believed by
22 the medical monitor to be inflammatory or partly
23 inflammatory in nature. In one case, subject 012-
24 212, the edema was reported as resolved after
25 duration of 196 days. However, the central corneal

1 thickness remains significantly increased from
2 baseline at the last available visit. Two of these
3 three eyes that are reported as resolved had ECDs of
4 less than 1,000 at some point in the study.

5 There were 9 IMT-implanted eyes with
6 unresolved corneal edema at the last available visit.
7 There were six cases reported by the sponsor as
8 decompensations. Four of these had transplants and
9 two did not. The latter two subjects died within one
10 year after the decompensations. Two of the
11 transplant cases also had explantations of the IMT.
12 There were three additional cases reported as having
13 unresolved corneal edema. All of these were
14 associated with low ECDs.

15 Here we show the number and percentage of
16 eyes that had unresolved corneal edema at the last
17 available observation. Note that the last available
18 observation may be different for each eye,
19 potentially somewhere between 9 months and 60 months.
20 For the all IMT-implanted, there were 9 cases of
21 unresolved edema which represents 4.4 percent of the
22 206 implanted eyes. This number does not count
23 subject 012-212 reported with resolution of edema but
24 continuing increased central corneal thickness.

25 The upper confidence limit on the

1 percentage is 8.1 percent. The upper confidence
2 limit can be thought of as a measure of the level of
3 assurance that the study data has provided for this
4 aspect of safety.

5 The next two rows show the results for the
6 two sub-cohorts we have described. The 112 guttata-
7 free large ACD sub-cohort had 2 cases of unresolved
8 edema representing 1.8 percent. If you assume that
9 the entire study had been only these 112 eyes, then
10 the upper confidence limit on the percentage would be
11 6.3 percent. Sub-cohort A also had 2 cases of
12 unresolved edema representing 6.1 percent of this
13 risk-reduced sub-cohort. The upper confidence limit
14 on this percentage is 20.2 percent.

15 This slide shows the times that edema was
16 observed for all eyes with unresolved edema in the
17 all IMT-implanted cohort. This chart includes case
18 012-212 whose edema was reported as resolved but
19 whose central corneal thickness remains increased.
20 The X axis shows time postop, and the Y axis is
21 simply the subject number. Each horizontal bar shows
22 the time span for which edema was reported for that
23 subject. So basically these bars were created by
24 taking the time that edema was first reported for
25 that subject, and then end of the bar is the last

1 available time for that subject.

2 We can see that there were a few cases with
3 edema occurring relatively early in the study but
4 quite a few in which the edema came significantly
5 after the immediate postop months. One eye had an
6 edema of first observed at 54 months postop. Note
7 that in the initial IMT-002 phase of the study, there
8 were more total eyes available for observation than
9 in the LTM phase of the study.

10 We're now going to move on to talk about
11 the eyes in the study that had low ECDs at their last
12 available observation. These last available
13 observations varied in time, in postop time, from eye
14 to eye with the potential to vary from 3 months to 60
15 months. This table is similar to the previously
16 shown table on corneal edema, but here we're looking
17 at the number of eyes with last visit ECD less than
18 750 cells per square millimeter.

19 For the all IMT-implanted cohort, there
20 were 19 eyes with final ECD less than 750. One of
21 these eyes had ECD less than 750 only after IMT
22 explantation. These 19 eyes represent 9.2 percent of
23 the 206 implanted eyes. The upper confidence limit
24 was 14 percent.

25 For the guttata-free large ACD sub-cohort,

1 there were 7 eyes with final ECD less than 750.
2 These were 6.3 percent of the 112 eyes in the sub-
3 cohort. The upper confidence limit was 12.5 percent.

4 For sub-cohort A, there were 2 eyes with
5 final ECD less than 750. This represents 6.1 percent
6 of the sub-cohort. The upper confidence limit was
7 20.2 percent. We note that fellow eyes of the all
8 IMT-implanted had 2 cases of final ECD less than 750.
9 However, this comparison has to be interpreted with
10 caution because fellow eyes had no exclusion based
11 upon low preop ECD. In fact, one of the fellow eyes
12 had baseline ECD less than 750.

13 This table is just like the prior slide
14 except that it shows the number of eyes with last
15 visit ECD less than 1,000. For the all IMT-implanted
16 cohort, there were 31 eyes with final ECD less than
17 1,000. These 31 eyes represent 15 percent of the 206
18 implanted eyes. The upper confidence limit is 20.7
19 percent.

20 For the guttata-free large ACD sub-cohort,
21 there were 11 eyes with final ECD less than 1,000.
22 These were 9.8 percent of the 112 eyes in the sub-
23 cohort. The upper confidence limit is 16.9. For the
24 sub-cohort A, there were 2 eyes with final ECD less
25 than 1,000. This represents 6.1 percent of the sub-

1 cohort, and the upper confidence limit on that is
2 20.2 percent.

3 Fellow eyes of the all IMT-implanted had 5
4 cases of final ECD less than 1,000. Again, several
5 of these fellow eyes had baseline preop ECDs of less
6 than 1200.

7 This slide provides some idea when the ECDs
8 declined to low levels. For the 18 eyes with final
9 ECD less than 750 cells per square millimeter, this
10 graph shows the times in the study when the counts
11 first dropped below 750. The bar for each visit
12 represents the number of these eyes that first drop
13 below 750 at that visit. Note that, although the X
14 axis shows time postop, it is not drawn to scale.
15 The early bar is being drawn for every three-month
16 visit while the lateral ones are drawn for every six-
17 month visit.

18 This graph shows that most of these
19 declines started relatively early in the study.
20 There were relatively few in the LTM phase of the
21 study, but one of these declines occurred as late as
22 54 months postop.

23 This slide clarifies the relationship -- I
24 know this looks ridiculously impossible, but bear
25 with me. This slide clarifies the relationship

1 between the low ECD levels and some serious adverse
2 events seen in the study. This matrix shows every
3 eye that had either a final visit ECD less than
4 1,000, a case of unresolved edema, or an IMT explant.
5 There were 41 eyes in the IMT-implanted cohort that
6 had at least one of these events. This represents
7 approximately 20 percent of the IMT-implanted eyes.

8 In the top row, I've provided subject
9 numbers from 1 to 41 for these subjects. The dot dot
10 dot symbols in the top row there just mean and so on,
11 because I did not have enough room to show every
12 subject number. The second row shows the 9 IMT-
13 implanted subjects with unresolved corneal edema.
14 The third row shows the 12 subjects with device
15 explants. The fourth row shows the 31 subjects with
16 final ECD less than 1,000.

17 So, for example, subject number 4 had final
18 ECD less than 1,000, had unresolved edema, and had
19 the IMT explanted.

20 All of the nine eyes with unresolved edema,
21 except for one, also had low cell counts. This one
22 eye did not have a low central count until after a
23 secondary surgical intervention. Two of these nine
24 eyes also had the IMT explanted.

25 For the other 10 explants, only 1 eye had a

1 final ECD less than 1,000, and in this eye, the ECD
2 dropped low only after the IMT was explanted. These
3 10 eyes had explants only because of patient
4 dissatisfaction or device failure.

5 The sponsor has presented specular
6 microscopy data from IMT-002 and IMT-002-LTM.
7 Morphometric analyses were collected under both
8 protocols. Considering the surgery related decline
9 in ECD, the chronic rate of ECD loss, the
10 morphometric analyses, the proportion of eyes that
11 declined to low ECD levels, and the number of cases
12 of decompensation and late corneal edema, please
13 address the following: please discuss whether the
14 ECD and morphometric data provide reasonable
15 assurance that the long-term risk of corneal
16 decompensation will be acceptable for the intended
17 population. Please discuss whether the specular
18 microscopy data provide sufficient characterization
19 of long-term ECD trends.

20 Thank you for your attention. We're now
21 going to have Dr. Huang, a statistician who worked on
22 the project.

23 DR. HUANG: Good afternoon. My name is Yao
24 Huang from the Division of Biostatistics at CDRH FDA.
25 I am one of the statistic reviewers of this PMA.

1 Today I will make some comments on the PMA concerning
2 the Implantable Miniature Telescope from a
3 statistical perspective.

4 And this is the outline of my talk. First,
5 I will present some results for the safety endpoint
6 concerning long-term endothelial cell density loss.
7 Second, I will give an introduction of the
8 biexponential model that the sponsor used, and I will
9 present some results from this model. Then I will
10 address the caveats associated with the data
11 extrapolation, and I will also discuss about the
12 concerns about subgroup analyses. Then I will wrap
13 up my talk with a brief summary.

14 And here, since the Panel meeting in 2006,
15 we have revisited the primary safety endpoint since
16 more follow-up data became available. The sponsor
17 performed various subgroup analyses in order to
18 identify patient subpopulation that may provide the
19 safest long-term ECD profile.

20 And the primary safety endpoint is that the
21 mean percentage ECD loss at 12 months post surgery,
22 the non-hypothesis is that the mean percentage ECD
23 loss at 12 months is no less than 17 percent. It is
24 found that for all IMT-implanted eyes, the observed
25 rate is 25.5 percent with a 95 percent confidence

1 interval from 22.1 percent to 28.2 percent. The non-
2 hypothesis cannot be rejected.

3 By conducting various post hoc subgroup
4 analyses, the sponsor proposed one subpopulation for
5 device indication that requires the patients are at
6 least 65 years of age with ACD no less than 3.0
7 millimeters and guttata-free. And the sponsor
8 intends to use this subgroup as the basis for the
9 proposed ECD grid for implantation eligibility.

10 Among the 206 IMT-implanted eyes, 99 eyes
11 belong to this proposed subgroup, and the observed
12 ECD percentage loss is 23 percent and its 95 percent
13 confidence interval ranges from 19 percent to 28
14 percent. Again, the non-hypothesis cannot be
15 rejected. That means the safety endpoint is not met
16 among the proposed post hoc subpopulation.

17 And here I would like to give some
18 introduction about the biexponential model, and in
19 order to evaluate long-term ECD loss, the sponsor
20 proposed a biexponential model which can be described
21 by this formula. On the left-hand side, ECD stands
22 for the counts of endothelial cells at time t , since
23 device implantation. On the right-hand side, the
24 first term is used to describe the rapid rate of ECD
25 loss associated with surgery. The second term is to

1 describe the slow rate of ECD loss after
2 stabilization.

3 This table provides the estimated annual
4 ECD loss based on the biexponential model that was
5 introduced in the previous slide. The biexponential
6 model was fitted using the 48-month ECD data, and I
7 would like to point out that these numbers were
8 obtained through the second term of the biexponential
9 model, that is, the slow rate of ECD loss after
10 stabilization.

11 The numbers quoted here are the sponsor's
12 estimates, and the first row is for all IMT-implanted
13 eyes, and the second row is for eyes from the
14 selected sub-cohort with a large ACD and guttata-
15 free. It is noted that 112 IMT-implanted eyes belong
16 to this subgroup. It is noted that the cohort of all
17 IMT-implanted eyes have larger annual ECD loss
18 compared to the subgroup. However, the 90 percent
19 confidence intervals have large overlap, and the
20 estimates in annual ECD loss do not appear to differ
21 statistically.

22 And this is the proposed grid of
23 preoperative ECD required for IMT implantation based
24 on data of the IMT-implanted eyes without guttata and
25 large ACD. Dr. Hilmantel has introduced the

1 calculation of this grid. Here I want to point out
2 that this grid is generated by extrapolating the
3 biexponential model, and we can see that some
4 extrapolation is as far as more than 20 years.

5 In order to create the grid, to address the
6 long-term ECD profile after surgery, the sponsor
7 conducted data extrapolation based on 48-month data.
8 That means the sponsor tried to use four years data
9 to predict the device performance in more than 20
10 years. However, data extrapolation beyond the range
11 of the current dataset should only be done with
12 extreme caution because of these reasons. The
13 current model may not fit outside the range of the
14 available dataset. Data extrapolation is very
15 sensitive to variability of the estimates. Such
16 sensitivities increase the further we extrapolate.

17 In order to understand the variability of
18 the ECD measurement over time and within patients,
19 let's take a look the raw ECD data from the clinical
20 trial. For illustrations purpose, random samples are
21 selected from the IMT-implanted eyes and the fellow
22 eyes. It is also noted that there is large variation
23 in ECD measurements from eye to eye. It is also
24 noted that patients starting with high ECD at
25 baseline tend to remain a relatively high ECD level

1 throughout the study, which shows that there is some
2 correlation within patients over time. So the
3 sponsor's assumption of data independence within eyes
4 may not reflect the true ECD relationship among
5 patients over time, and this is one limitation of the
6 biexponential model. Therefore, the confidence
7 bounds of the proposed ECD grid may not be very well
8 validated.

9 This plot shows the actual ECD measurements
10 of all IMT-implanted eyes through 48 months
11 postoperatively. The black curve stands for the
12 fitted biexponential model for IMT-implanted eyes,
13 the red curve for the eyes of the sub-cohort with ACD
14 no less than 3.0 millimeters and guttata-free. The
15 horizontal axis is time in months starting from
16 surgery and the vertical axis is the ECD counts. It
17 is noted that there is huge variation in ECD
18 measurement, and despite that, the red curve shows
19 relatively better ECD profile. The difference
20 between the two curves is actually very little.

21 So the major statistical concerns for this
22 PMA are unplanned subgroup analyses should always be
23 interpreted with caution. Repeatability is of
24 serious concern for the proposed sub-cohort.
25 Therefore, we want to ask, are these differences

1 between different patient cohorts clinically
2 meaningful? And, if so, are the differences
3 repeatable?

4 In summary, the study did not meet the
5 safety endpoint of ECD loss at 12 months
6 postoperatively, nor did the selected sub-cohort.
7 Grave caution is needed when conducting data
8 extrapolation. The sponsor's assumption of data
9 independence in ECD measurements over time and across
10 patients may not reflect the true trend of ECD loss
11 over time. The results from the sub-cohort analysis
12 may not be repeatable.

13 Therefore, our question for the Panel is,
14 in an attempt to identify the characteristics of a
15 subgroup with an improved safety profile, the sponsor
16 performed multiple subgroup analyses. Considering
17 the statistical issues associated with these
18 analyses, do the data constitute valid scientific
19 evidence for evaluation of safety of this device?

20 Thank you very much.

21 DR. HILMANTEL: We're now going to have
22 Dr. Michele Bonhomme, our epidemiologist from the
23 Office of Surveillance and Biometrics.

24 DR. BONHOMME: Good morning, Dr. Weiss,
25 distinguished Panel members and guests.

1 My name is Michele Bonhomme, and I'm a team
2 leader in the Division of Epidemiology in the Office
3 of Surveillance and Biometrics. The sponsor has
4 discussed their postapproval plans with FDA, and I
5 will be presenting an assessment of those plans.

6 First I will discuss the postmarket
7 concerns about the IMT. Next, I will give an
8 overview of the sponsor's postapproval study
9 proposal. I will then discuss FDA's assessment of
10 that proposal and will conclude with a summary of the
11 issues that we would like the Panel to discuss.

12 There are a few points we would like you to
13 keep in mind as you consider the postapproval plans
14 for the IMT. First, please be reminded that the
15 discussion of the postapproval study prior to the
16 formal recommendation on the approvability of this
17 PMA shouldn't be interpreted to mean that FDA is
18 suggesting that the Panel find the device approvable.
19 Second, the plan to conduct a postapproval study does
20 not decrease the threshold of evidence required to
21 find the device approvable. And third, the premarket
22 data submitted to the Agency and discussed today must
23 stand on its own in demonstrating a reasonable
24 assurance of safety and effectiveness in order for
25 the device to be found approvable.

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 There are two general principles for
2 postapproval studies. The primary purpose of
3 conducting postapproval studies is to evaluate the
4 device performance and potential device-related
5 problems in a broader population over an extended
6 period of time after the premarket establishment of
7 reasonable device safety and effectiveness.

8 Postapproval studies should not be used to
9 evaluate unresolved issues from the premarket phase
10 that are important to the initial establishment of
11 device safety and effectiveness.

12 And you heard earlier from Dr. Marinac-
13 Dabic a description of the needs for the postapproval
14 study, and I would just like to recap those for you.
15 Generally, the reasons for conducting postapproval
16 studies are to gather postmarket information
17 including the long-term performance of the device, to
18 gather information on the real world experience when
19 a broader patient population is treated by average
20 physicians, and this is in contrast to the highly
21 selected patients that are treated by leading
22 physicians in the clinical trials. And in
23 considering the real world experience with the
24 device, it's also important to monitor device-
25 associated adverse events, especially rare events

1 that were not observed in the clinical trial.

2 Postapproval studies can also be used to
3 evaluate the effectiveness of device utilization
4 training programs and to evaluate the device
5 performance in subgroups of patients since clinical
6 trials tend to have limited numbers of patients and
7 may not include all the subgroups in the general
8 patient population.

9 The FDA review team identified four
10 postmarket concerns about the IMT. These concerns
11 relate to the knowledge that we currently lack about
12 what the real world experience is with the IMT and
13 what its longer-term safety and effectiveness would
14 be if the device were approved.

15 First, we don't know what the longer-term
16 risk of ECD loss is that fall below the threshold
17 where corneal function in IMT-implanted eyes is
18 irreversibly compromised. Second, the risk of failed
19 implantations is unknown. Third, we need to know the
20 risk of removals, replacements, repositionings, and
21 device failures. And finally, the risk that the
22 improvements in visual acuity achieved with IMT
23 implantation is not sustained.

24 Having reviewed the postmarket concerns, I
25 would now like to describe the sponsor's postapproval

1 plans. At the time of the July 2006 Panel meeting,
2 the sponsor had submitted protocols for two
3 postapproval studies. The first was the long-term
4 monitoring study to follow subjects in the all IMT-
5 implanted eyes cohort through their fifth year post
6 implant. The second protocol was for a study design
7 to follow for five years after implant, a newly
8 enrolled cohort of IMT patients who received the
9 device in the postmarket environment.

10 On February 6th of this year, the sponsor
11 indicated that it believes that a postapproval study
12 is not necessary because most of the subjects in the
13 long-term monitoring study have completed their 48
14 month exam. We will ask the Panel to comment on the
15 need for a postapproval study. To address the
16 possibility that a postapproval study may be
17 recommended, however, the sponsor submitted the IMT-
18 002-LTME protocol for consideration. Under that
19 protocol, the subjects in the all IMT-implanted eyes
20 cohort would be followed for two additional years for
21 a total of seven years.

22 Let's look at the main features of the
23 proposed study. The objective would be to monitor
24 the long-term safety of the IMT in the all IMT-
25 implanted eyes. The study design is described as a

1 prospective study and as a non-comparative
2 descriptive study. The population consists of
3 subjects in the all IMT eyes cohort including, but
4 not limited to, the subjects who participated in the
5 long-term monitoring study. The draft protocol
6 doesn't specify a study size. As I mentioned
7 earlier, the duration of follow-up would be two
8 years. The subjects would be evaluated at 72 and 84
9 months, and if the study entry occurs outside of the
10 window for those two examinations, then the patients
11 would be evaluated at study entry also.

12 The primary endpoint is not specified but
13 the clinical parameters to be assessed in subjects
14 are listed here. There's no hypothesis specified for
15 the study, and the statistical analyses will consist
16 of descriptive techniques using 95 percent confidence
17 intervals, and the sponsor's rationale is that due to
18 the study is observational and non-comparative.

19 Now that you've heard a brief description
20 of the sponsor's plans, I'd like to present the FDA
21 assessment of the proposed plans. The protocol
22 describes the study as a prospective study, and in
23 the statistical analysis section, as a non-
24 comparative, descriptive study. The protocol also
25 states that ECD and best-corrected distance visual

1 acuity will be assessed in fellow eyes, and the
2 percent change in ECD is one of the clinical
3 parameters. So we would consider the study to be
4 comparative since fellow eyes will be used as
5 controls for the IMT eyes.

6 As I mentioned before, the population is
7 the all IMT eyes cohort. The potential bias in the
8 study results and the adequacy of the study size are
9 a concern. The study size will depend on the number
10 of subjects in the all IMT eyes cohort who are
11 recontacted and reconsented.

12 One concern is that losses to follow-up to
13 date and the willingness of subjects to participate
14 will impact enrollment rates. Study results may be
15 biased if participation is related to outcomes at the
16 time of recruitment. For example, subjects who are
17 dissatisfied with the device may be more likely to
18 refuse, and the clinical sites' recruitment efforts
19 may also vary by patient status and IMT outcome. A
20 second concern is that losses to follow-up during the
21 two additional years of follow-up may also introduce
22 bias if losses are influenced by the IMT outcome.
23 Third, the absence of 36 and 48 month data from
24 members of the all IMT eyes cohort, who did not
25 participate in the long-term monitoring study, is

1 also a concern. In summary, the use of the all IMT
2 eyes cohort in the proposed postapproval study may
3 lead to biased results that may not be generalizable
4 to the eligible patient population.

5 I'd like to elaborate on the point of
6 generalizability of the results for the proposed
7 study. As the statistician noted, close to half of
8 the 206 IMT-implanted eyes had at least one of the
9 contraindications specified in the currently proposed
10 indication. So there's a concern that the results
11 for the all IMT eyes cohort cannot be generalized to
12 the eligible population based on the proposed
13 indication.

14 The number of subjects available for
15 analysis at seven years should be large enough to
16 detect small but clinically significant ECD changes.
17 The primary safety endpoint should drive the study
18 size and the power calculations.

19 The proposed ECD endpoints are listed here.
20 We would recommend at least three other endpoints,
21 the relative risk of any corneal edema, of late
22 corneal edema, and decompensation. The relative risk
23 would be based on a comparison of IMT and fellow eyes
24 or perhaps another appropriate control group. This
25 might address Dr. Ferris' comment this morning about

1 being able to tell a patient what the excess risk of
2 corneal edema and corneal transplants might be.

3 There's a 12-month interval between the 72
4 and 84-month visit. The interval between
5 examinations in the long-term monitoring study was 6
6 months. So we will need to consider whether there
7 are any adverse clinical consequences of not
8 detecting clinically significant ECD changes in that
9 interval.

10 The current draft of the proposed protocol
11 does not specify a hypothesis. However, the study
12 design allows for comparison of ECD changes over time
13 in the same eye and differences between IMT and
14 fellow eyes. A statistical hypothesis increases the
15 scientific rigor and public health utility of
16 postapproval studies. So if the device is approved
17 and a postapproval study is recommended, we will work
18 with the sponsor to define the hypotheses.

19 The current protocol only states that
20 statistical analyses will consist of descriptive
21 techniques using 95 percent confidence intervals. If
22 the PMA is approved, and a postapproval study is
23 recommended, we will encourage the sponsor to provide
24 a more detailed analytic plan. The detailed plan
25 might include the calculation of relative risks for

1 certain parameters such as ECD below a certain
2 threshold level, device survival analyses, and plans
3 for evaluating and handling missing values. A
4 sensitivity analysis could also be performed to
5 evaluate the impact of missing values on the study
6 results.

7 Now I will present the questions that FDA
8 would like the Panel to consider during its afternoon
9 deliberations.

10 Before presenting the specific questions
11 which are labeled a through d, I would like to read
12 an introductory statement that describes again the
13 sponsor's postapproval plans in 2006 and those
14 presented this year.

15 A five-year postapproval study following up
16 the IMT-002 patients was proposed in the IMT-002
17 patients. The second study would be a follow-up
18 study of newly enrolled patients who received the
19 device after approval, and they would be followed out
20 to five years.

21 As I mentioned before, on February 6th, the
22 sponsored indicated that they did not believe a
23 postapproval study is warranted at this point because
24 most subjects in the IMT-002 long-term monitoring
25 study have reached their four-year follow-up

1 examination. However, to address the possibility
2 that a postapproval study might be recommended, the
3 sponsor did submit a protocol for our consideration.

4 Given the currently available safety and
5 effectiveness data, and if this device is approved,
6 is a postapproval study recommended?

7 If a postapproval study is recommended,
8 does the Panel agree with the sponsor's proposal to
9 follow the currently implanted patients out to seven
10 years? If not, what do you recommend?

11 And, c, is a postapproval study of newly
12 enrolled patients needed to evaluate the performance
13 of the device when used in the postmarket
14 environment?

15 And finally, d, if a postapproval study is
16 recommended, what do you recommend for the following
17 postapproval study elements? These include the
18 objectives, the clinical endpoints, the clinically
19 tolerable rates of severe events such as corneal
20 decompensation-induced device extractions and corneal
21 transplants, the duration of follow-up for the study
22 subjects and I would also add the choice of a control
23 group, and any other specific issues that you would
24 like the postapproval study to address.

25 This concludes my presentation and the

1 FDA's morning presentations. We welcome your
2 questions.

3 DR. WEISS: I'd like to thank the FDA
4 speakers for their very excellent and clear
5 presentations. We're going to have 15 to 20 minutes
6 of questions from the Panel to the speakers.

7 Dr. Matoba.

8 DR. MATOBA: I'd like to ask Dr. Lepri a
9 question, and it's about -- it's the one on the side
10 effectiveness degree of visual improvement at 12
11 months compared to baseline, that slide.

12 DR. LEPRI: Yes.

13 DR. MATOBA: Okay. I guess I don't
14 understand it, but for that first group, the 20/80 to
15 20/160, when it says 3.6 percent improved -- well, I
16 mean how many people are really in that group and how
17 many people actually improved? And it seems to
18 indicate that you have like a 50 percent chance of
19 being worse I mean compared to being better.

20 DR. LEPRI: In the moderate impairment
21 group at baseline where 20/80 to 20/160.

22 DR. MATOBA: Yeah.

23 DR. LEPRI: That's the group you're
24 referring to. It only showed that 3.6 percent of
25 them improved.

1 DR. MATOBA: Of them.

2 DR. LEPRI: Of them.

3 DR. WEISS: Would it be helpful for you to
4 have the slide or would it be easy to get the slide
5 up there?

6 DR. LEPRI: I have the slide right here. I
7 was just --

8 DR. MATOBA: So you had some 30 -- how many
9 people were in the group?

10 DR. LEPRI: That we can look up, but I
11 don't know.

12 DR. MATOBA: Okay. And then 2.1 percent
13 got -- had worse vision?

14 DR. LEPRI: Yes.

15 DR. EDRINGTON: That doesn't add up to 100
16 percent.

17 DR. MATOBA: Pardon me.

18 DR. EDRINGTON: It doesn't add up to 100
19 percent.

20 DR. LEPRI: There's a mistake. This was in
21 the degree of visual impairment.

22 DR. MATOBA: Right, right. Okay.

23 DR. LEPRI: Yeah.

24 DR. MATOBA: So was there not previous
25 discussion about how this group might have the most

1 to gain but actually you're seeing --

2 DR. WEISS: I see the sponsor wants to make
3 a comment --

4 DR. MATOBA: Yeah.

5 DR. WEISS: -- but typically this would
6 be -- I'm going to defer -- this is typically for
7 questions to FDA. So we're going to -- you can hold
8 your comment. We can get back to it.

9 DR. MATOBA: It might be helpful though
10 to --

11 DR. WEISS: It's not the time.

12 DR. MUSCH: We were privy to information
13 earlier today that showed 20 percent of this group
14 had a three line or better improvement and 86 percent
15 of this group had a two line or better improvement.
16 So this is a whole different criterion I think you're
17 using for improvement.

18 DR. LEPRI: Well, this was in the
19 sponsor's -- not this table. We took this from
20 there, and the point of the entire table was to show
21 that 75 percent of them improved, 21 percent remained
22 unchanged, and 2.6 percent in degree of vision
23 impairment were slightly worse. The degree of vision
24 impairment, of course, is going to be relative to any
25 changes in the degree of macular degeneration. It

1 was not caused by the IMT.

2 DR. WEISS: Dr. Eydelman.

3 DR. EYDELMAN: Perhaps after the break, the
4 sponsor can comment as well on this point. I
5 understand this time is restricted specifically to
6 FDA. However, we welcome sponsor's comment after the
7 break.

8 DR. WEISS: And then also what I would
9 caution the Panel, and I think we all understand this
10 at this point, there are many different denominators
11 we're dealing with here and a tremendous number of
12 different groups. So maybe each time we can talk
13 about what the denominator is and what the group is
14 because that is a part where it is easy to get a bit
15 lost. Yes.

16 DR. SUNNESS: Janet Sunness. I think
17 that's an issue and this thing is -- I looked at it.
18 I think it's more like an end rather than a
19 percentage. I think the sum of the whole chart is
20 100 percent.

21 DR. LEPRI: You're right. The sum of the
22 whole chart is 100 percent, and the chart that the
23 sponsor presented was very large and complicated, and
24 we tried to boil it down as much as possible to be
25 able to fit it on a slide. But it's the entire chart

1 that adds up to 100 percent, not each individual line
2 across.

3 DR. MATOBA: Right. So I'm not so much
4 concerned about what criteria we're calling improved
5 or not improved, but I took one percent were worse.
6 So -- whereas that's not true for the other subsets.
7 So I guess in talking to patients in that category,
8 how you presented the potential benefits, you know,
9 or risk of having -- but I think we just need more
10 comments from the sponsor later, I think.

11 DR. LEPRI: Yes.

12 DR. WEISS: I had a question about one of
13 the -- the grid comparison in terms of the minimal
14 endothelial cell count proposed by the sponsor and
15 the requested alternative. I just wanted to confirm
16 that the, A, the one proposed by the sponsor is the
17 one which is no guttata and ACD greater than 3
18 millimeters as opposed to the one which is just
19 having higher endothelial cell counts but taking all
20 possibilities.

21 DR. LEPRI: Yes.

22 DR. WEISS: Now the other thing that I
23 found interesting is the requested alternative, which
24 the FDA alternative has the same -- does that -- that
25 does not include -- does that include patients with

1 no guttata and ACD greater than 3, or it's all
2 comers?

3 DR. HILMANTEL: I'm Gene Hilmantel. A is
4 just the guttata-free large ACD sub-cohort, and B is
5 the all implanted, all the implanted eyes; the data
6 was calculated from all implanted eyes.

7 DR. WEISS: So what I find interesting is
8 the age 65 to 69 is the same proposed endothelial
9 cell count that the sponsor has for all comers. But
10 the rest of the chart is a little different.

11 DR. EYDELMAN: Perhaps you can --

12 DR. HILMANTEL: No.

13 DR. EYDELMAN: -- point. The slide is up.

14 DR. WEISS: What I'm looking at is, A,
15 proposed by the sponsor, the deeper end -- we can go
16 back to -- yeah. The ones proposed by the sponsor is
17 the minimal ECD in the patients with no guttata and
18 ACD greater than 3 millimeters. The requested
19 alternative is the one proposed by the FDA, correct?
20 The minimal ECD decision grid from the sponsor which
21 was their other more conservative alternative had the
22 same cell count for the 65 to 69 group as the FDA
23 chart but it actually -- it's virtually identical
24 except for 75 and above, it's a little -- you require
25 a little bit less endothelial cells than the sponsor

1 did.

2 DR. HILMANTEL: I think the sponsor will
3 have to clarify what --

4 DR. WEISS: It's quite similar.

5 DR. HILMANTEL: Well, A is --

6 DR. WEISS: Is theirs.

7 DR. HILMANTEL: -- proposed for the
8 labeling by the sponsor. B is what FDA has requested
9 as an alternative possible grid for the labeling.

10 DR. EYDELMAN: However, I just wanted to
11 bring a point that both grids were calculated by the
12 sponsor.

13 DR. WEISS: And B is what I see here in the
14 sponsor's proposal of a higher endothelial cell count
15 if you didn't take out the ACD and guttata
16 requirements. And that would make sense if they
17 proposed. So the FDA is recommending that one be
18 used --

19 DR. EYDELMAN: We're not recommending
20 anything. What we're doing is we're bringing both to
21 your attention and asking your recommendation.

22 DR. WEISS: Got it. Yes.

23 DR. SUNNESS: I had a couple of questions.
24 First of all, I was wondering if during the lunch
25 break, I would love to look at some of the fundus

1 images and OCTs and so forth, if they can be provided
2 for us.

3 DR. WEISS: Yeah, we can't do that during
4 the lunch break, but you can do that after 5:00 p.m.
5 perhaps if we end by then.

6 DR. SUNNESS: I wanted to ask you a couple
7 of things, and in your biexponential model, so it
8 seems to me that P and Q should theoretically be the
9 same number because you're taking those that are sort
10 of baseline and going down and adjusting P and Q
11 obviously is going to significantly modify the rates
12 that you compute, and I was just wondering what the
13 justification for that is.

14 And then the other thing is the skewing of
15 the endothelial cell loss? How is that taken into
16 account in everything?

17 DR. HILMANTEL: Well, the P and Q are not
18 the same thing. I mean they sum up -- in the model,
19 the two terms sum up to the predicted ECD amount. So
20 it's a summation of the two. Let me pull up the
21 slide.

22 DR. WEISS: And while you're pulling that
23 up, Dr. Bandeen-Roche had a question that was very
24 similar to Dr. Sunness'. So perhaps she could ask
25 her question.

1 DR. BANDEEN-ROCHE: Yeah, I don't know if
2 Dr. Huang might also be available to respond, and so,
3 you know, as I look at the biexponential model, I'm
4 pretty concerned about the interpretation of the
5 long-term rate. So both components include a time
6 term that continues on, you know, and so really the
7 long-term rate remains a sum of both terms at any
8 time, and I can certainly see that, you know, the one
9 term would drop off to a lone number quite quickly,
10 but I'm concerned as to whether, you know, using the
11 model's characterization of the long-term rate
12 parameter B adequately characterizes the actual rate
13 that we see, say between the 24 and 48-month period,
14 and so can you comment as to whether it does, whether
15 this was compared with a simpler model like a spline
16 model where we could unequivocally estimate that
17 rate?

18 DR. HUANG: Yes. We did run a mixed
19 effects model using a linear, a piecewise linear
20 model. So the estimates of the ECD loss was very
21 similar to the sponsor's estimates, I mean numerical
22 rate.

23 DR. BANDEEN-ROCHE: Including the long-term
24 rate particularly. So, in other words, parameter B
25 or whatever, you know --

1 DR. HUANG: Right. Yes, yes, yes. Yeah.

2 DR. BANDEEN-ROCHE: Okay.

3 DR. WEISS: Dr. Ferris and then Dr. Musch.

4 DR. HILMANTEL: Let me just -- this is Gene
5 Hilmantel. Let me quickly answer the other question
6 about the skewing of the data. That was not taken at
7 all into account in the modeling.

8 DR. FERRIS: That was actually -- my
9 comment is, with all due respect to the model and the
10 mean, who cares? The issue is that tail and how big
11 it is and how fast it's growing, and I don't think
12 the model helps us much with that.

13 DR. MUSCH: Dave Musch. I'm going to
14 refrain from any comments about the postapproval
15 study, other than saying to corneal specialists, you
16 shouldn't abbreviate it PAS because that's not
17 something they like to see happen. We'll keep it to
18 that.

19 But I do have some comments to
20 Dr. Hilmantel, and they really echo Dr. Bandeen-
21 Roche's request to do some basic time to event
22 analyses when you have a discrete event, and you do
23 have that with corneal edema. However, given the
24 imprecision of the specular microscopy information,
25 I'm not sure we can really rely much on that as an

1 approach, even though you talk about reaching a final
2 event of whether it's 1,000 or 750 cells. It's quite
3 evident that the next visit that subject might come
4 with 1,250 and everybody thinks they're fine. So
5 maybe we're looking at a measurement that we
6 shouldn't hang our hats on as being something so
7 definitive that it is a measure, you know, a
8 wonderful measure of safety.

9 DR. HILMANTEL: Well, you're absolutely
10 correct. For many of the patients, the measurement
11 was very noisy. Early on we considered a survival
12 analysis type of look at dropping below a certain
13 level but, you know, some patients would drop below
14 1,000 or whatever number you would pick, and then
15 they would bounce back up above 1,000. So we
16 rejected that approach. For the patients that ended
17 up below 750, I visually inspected the data, and
18 virtually all of them had fairly consistent, over
19 several visits, measurements below 750 or in that
20 neighborhood, and -- I'm sorry. You had another part
21 of your question. I don't remember what it was.

22 DR. MUSCH: Well, we can comment about --

23 DR. HILMANTEL: Looking at the proportion
24 below a certain number.

25 DR. MUSCH: Yes.